

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

---oOo---

BEFORE THE  
SCIENTIFIC AND MEDICAL RESEARCH  
FACILITIES WORKING GROUP  
OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT

---oOo---

REGULAR MEETING

---oOo---

---oOo---

DATE: March 9, 2009  
TIME: 2:07 p.m.  
LOCATION: CIRM  
210 King Street  
Third Floor  
San Francisco, California

---oOo---

REPORTED BY: Peter D. Torreano, CSR, CRR  
Certified Shorthand Reporter  
License Number C-7623

1 San Francisco, California

March 9, 2009

2 P R O C E E D I N G S

3 CHAIRMAN LICHTENGER: I'd like to welcome you  
4 to the 2009 CIRM Facilities Working Group.

5 Patty, if you'd please call the roll.

6 MS. BECKER: Okay. Marcy Feit?

7 Deborah Hysen?

8 Ed Kashian?

9 MR. KASHIAN: Yes.

10 MS. BECKER: Bob Klein?

11 Jeff Sheehy?

12 MR. SHEEHY: Here.

13 MS. BECKER: Stuart Laff?

14 MR. LAFF: Here.

15 MS. BECKER: David Lichtenger?

16 CHAIRMAN LICHTENGER: Here.

17 MS. BECKER: Joan Samuelson?

18 David Serrano Sewell?

19 VICE CHAIR SERRANO SEWELL: Here.

20 CHAIRMAN LICHTENGER: So I want to thank the  
21 Facilities Working Group members and the members of the  
22 public, if we have any returning today. Hopefully,  
23 most of you know today is an historic day in stem cell  
24 research.

25 I think everyone realizes today is an historic

1 day -- this is David Lichtenger again -- that the  
2 President has lifted the ban on doing research into the  
3 existing stem cell lines; is that correct, Alan?

4 Okay. Great. So since we last met there have  
5 been some dramatic changes in the health -- hello?  
6 Somebody just dialed in. We've lost the court  
7 reporter.

8 THE COURT REPORTER: No. I'm still here.

9 CHAIRMAN LICHTENGER: David Lichtenger, I am  
10 continuing.

11 There have been some dramatic changes in the  
12 financial health of the California state government and  
13 the world financial markets and some of the projects  
14 that were funded by CIRM have been affected by these  
15 events, but most have progressed quite well.

16 So the purpose of this meeting is to provide  
17 update on these projects and we'll cover several  
18 topics.

19 John Robson, the vice-president of operations  
20 with CIRM, will provide us with a general update on the  
21 status of the shared labs and nature facilities  
22 projects that were recommended for funding by the  
23 ICOC. And then we'll discuss a request from the  
24 University of California Merced to modify its major  
25 facilities project. John has visited the site last

1 Friday accompanied by CIRM staff and outside  
2 consultants to evaluate the request.

3 I know I'm going to get your last name wrong.  
4 "Csete"? Okay. Dr. Marie Csete, chief scientific  
5 officer, will then lead a discussion of the report and  
6 recommendations that you received about GMP facilities  
7 and service role supporting that.

8 So I'd like to go to agenda item number 4,  
9 status report on the major facilities boards, but first  
10 Don Gibbons, CIRM's chief communications officer, will  
11 present a video presentation of the CIRM major facility  
12 speed science and create jobs video.

13 (VIDEO PRESENTATION, NOT REPORTED.)

14 MR. GIBBONS: Any questions? Okay. Time for  
15 us to go out and handle a few more of those tough media  
16 comments.

17 CHAIRMAN LICHTENGER: Thank you, Don.

18 Now I'd like to have John Robson,  
19 vice-president of operations, review the update for the  
20 major facilities awards. Please note there will not be  
21 a vote on anything. It's just an update.

22 MR. ROBSON: Okay? Thank you very much,  
23 David.

24 This is John Robson.

25 So since you last met, a lot of things have

1 happened around here. One, I arrived. This is the  
2 first meeting I've been to. Nice to meet all of you.

3 And Rick Keller who had been shepherding  
4 through the shared labs projects and the major  
5 facilities projects, he retired last August. We had  
6 hoped to bring him back on a part-time basis to  
7 continue to follow these things through to their  
8 completion, but he took an interim position with the UC  
9 president's office. So he wasn't able to do that.

10 We have since engaged Ray Groom who worked  
11 closely on the shared facilities project and also with  
12 some of the major facilities. He's been sort of taking  
13 over for Rick and he's been a big help with us  
14 following these various projects along.

15 What I'd first like to do is talk to you about  
16 the shared labs. I'm just going to give you kind of a  
17 brief update as to where these stand.

18 So if I could have the next slide. Thanks,  
19 Pat.

20 MR. SHEEHY: Do you have a handout on this,  
21 John?

22 MR. ROBSON: I think it's in your packet right  
23 there on the top.

24 MS. BECKER: Seeing that there is no public  
25 here, we could actually move the -- because they are

1 going to have to look at you and it might be more  
2 convenient if you stand here.

3 MR. ROBSON: I'm just afraid Peter won't be  
4 able to hear me.

5 MS. BECKER: Well, I'm going to move that.

6 MR. ROBSON: Okay.

7 MS. BECKER: Does that make sense?

8 MR. ROBSON: Sure.

9 MS. BECKER: We are playing tennis here.

10 MR. ROBSON: If I could get out.

11 MS. BECKER: If you could show us the sheet.

12 MR. ROBSON: Okay. So I'm on this PowerPoint  
13 presentation and it's just the first one. That's right  
14 here. Is everybody with me?

15 MR. LAFF: Now I am.

16 MR. ROBSON: Okay. All right.

17 MR. SERRANO SEWELL: Special GMP, there's  
18 another one in here.

19 MR. ROBSON: There should be another one.  
20 Okay. Is everybody with me here?

21 So let's just briefly go down these shared  
22 labs. These projects are -- almost all of them are on  
23 schedule. Several of them are already finished. So I  
24 can just give you them very quickly. The Buck  
25 Institute one is still in process. It's on schedule.

1 Burnham Institute is just waiting for its --  
2 we're waiting for a request for disbursement on  
3 equipment and that one will be complete.

4 Children's Hospital in Los Angeles, same  
5 thing. We're waiting for equipment receipts.

6 Gladstone Institute, it's just in the last  
7 phases of review.

8 Salk Institute is done.

9 Scripps Institute is done, although we have a  
10 site visit pending on that one.

11 Stanford is in process, on schedule.

12 Final payments are being issued at Berkeley.

13 The University of California at Davis is  
14 slightly behind schedule, but it seems to be moving  
15 along towards completion.

16 Final payments are in process for Irvine.

17 UCLA is slightly behind schedule again.

18 Riverside, the construction component is just  
19 about complete, but, again, it still seems to be pretty  
20 much on schedule.

21 San Diego is in process.

22 We're just about closing out at UCSF.

23 And the last two are also -- Santa Barbara and  
24 Santa Cruz are also near completion.

25 So there don't seem to be any problems with

1 any of these. Ray Groom has been doing a great job  
2 tracking them and I think we'll be, you know, finished  
3 on schedule on almost everything.

4 CHAIRMAN LICHTENGER: John, can we get some  
5 kind of a document showing all -- you know, what you  
6 just told us, and if there are any numbers behind it,  
7 you know --

8 MR. ROBSON: Sure.

9 CHAIRMAN LICHTENGER: -- you know, distributed  
10 to the Facilities Working Group, you know, I mean, if  
11 they are on budget and the schedule. Just because a  
12 lot of the members here were involved in the award --  
13 you know, the shared labs and we'd like to see kind of  
14 exactly where they are.

15 MR. ROBSON: Okay. We can do the same. We  
16 can do the same for major facilities. I have -- again,  
17 I think for the major facilities -- well, that's just a  
18 summary of what I just told you.

19 For the major facilities I'd really like to  
20 focus our competition primarily on three and that's the  
21 Stanford consortium, that's the San Diego consortium,  
22 the Buck Institute and then UCSF. Those are the three  
23 where we've had some delays and some difficulties. And  
24 so I'd like to talk to you and then we can have a more  
25 elaborate conversation about those.

1           The others again are all doing quite well.  
2           Some of them are already under construction. That's  
3           Stanford, UC Davis, UC Irvine, UCLA --

4           CHAIRMAN LICHTENGER: Excuse me, John.

5           Hi. It's Dave Lichtenger. I guess you've now  
6           officially joined the call. Thanks for joining.

7           MS. FEIT: Yes, thank you.

8           CHAIRMAN LICHTENGER: Marcy Feit just joined.  
9           So she's now on this call.

10          Sorry, John. Go ahead.

11          MR. ROBSON: That's all right. So I was just  
12          running through the major facilities, ones where the  
13          construction has begun, and that's Stanford, UC Davis,  
14          US Irvine, UCLA, UCSF and University of Southern  
15          California. So construction is ongoing there. The  
16          Stanford consortium at the top. I'd say we'll talk  
17          about that in a minute separately. The same with the  
18          Buck Institute.

19          Now, the three -- three of the UC campuses  
20          that are listed below there, Berkeley, Santa Barbara  
21          and Santa Cruz, none of them were expected to start  
22          their -- scheduled to start their construction until  
23          this spring. They are all ready to go, but what we're  
24          hearing now is they are going to be delayed because of  
25          the financial crisis in the state and the freezing of

1 the pool money investment account.

2 So this is information I got from Rick Keller  
3 who is still overseeing these projects and many others  
4 from the president's office. So those are likely to be  
5 delayed, unfortunately. They are ready to go.

6 I think you know that Santa Cruz had some  
7 delays due to ecological issues. Those things have all  
8 been resolved, again, and so they are all pretty much  
9 on track.

10 So, again, that's what I just sort of went  
11 through there on that list.

12 CHAIRMAN LICHTENGER: John, I have a question  
13 before we get into the specifics.

14 Have you gotten any feedback -- this is David  
15 Lichtenger again.

16 Have you gotten any feedback from the  
17 institutions about construction costs coming down?  
18 Because I'm seeing some significant reductions in costs  
19 out there and I would expect that some of these  
20 projects should -- the costs should go down, not up.

21 MR. ROBSON: Yeah. Well, that will come up a  
22 bit when we talk about Merced, but I haven't seen it.  
23 Not that I'm aware of so much with these, but certainly  
24 with the shared labs that's been the case where the  
25 construction has been complete and when the actual cost

1 requests came in they were below what has been  
2 estimated. And so we're sort of expecting it at this  
3 point they'd have to be, but I think it's a little too  
4 early to see that.

5 CHAIRMAN LICHTENGER: Okay.

6 MR. ROBSON: Okay. So let's talk a little bit  
7 about the Stanford consortium. They had some delays  
8 due to zoning issues and they had -- there was a  
9 hearing of the Coastal Commission in January. And  
10 those objections were taken care of and they have  
11 approval to go forward. Of course, in the interim  
12 there's been some financial issues that have come up in  
13 the state and nationally and they need to secure a bank  
14 loan in order to get the project going at this point.

15 There's some difficulty. The bank wants an  
16 up-front payment from CIRM so that they can be assured  
17 that CIRM's contribution to the -- to the total cost is  
18 secure, but we're not in a position to make that  
19 up-front payment right now because of our cash flow  
20 situation. Even though we are -- we are fully  
21 authorized to raise \$3 million -- \$3 billion over, you  
22 know, the course of ten years and we have full  
23 authority to do this, we're just not able to -- the  
24 state has not been able to sell bonds to this point.

25 And now, as I think you know, and James

1 Harrison can talk to you about it in more detail, the  
2 process of preparing a private placement with general  
3 obligation bonds. So when that goes through hopefully  
4 that's going to ease our situation relatively quickly.

5 But in the meantime, James and Bob Klein have  
6 been working with the lawyers at the Stanford  
7 consortium to create a collateral pledge agreement  
8 which will satisfy the banks and will secure their  
9 money and allow them to go ahead and make the loan that  
10 Stanford needs so that their project can move forward.

11 I know there's been some conversations, a  
12 couple of conversations back and forth about that.  
13 James, if you want to -- you know, comment on where  
14 that is.

15 MR. HARRISON: Sure.

16 CHAIRMAN LICHTENGER: Just a quick update for  
17 those of us who are not as up to date.

18 MR. HARRISON: Sure. Let me just quickly  
19 describe the situation. As John said, one of the  
20 primary issues facing both the Stanford consortium and  
21 the Buck Institute is the concern on the part of  
22 financial institutions that they're relying on that the  
23 state funds will ultimately be there when they are  
24 called upon.

25 As you know, both Buck and the consortium are

1 slated to receive dollars on a last dollar bid basis,  
2 and the financial institutions are concerned given our  
3 current financial situation in the State of California  
4 and the state's recent inability to access the bond  
5 market that the financial institutions could put up  
6 their funds, the institutions could send their leverage  
7 dollars, they have ability that's two-thirds complete,  
8 and the state wouldn't be in a position to make those  
9 final payments to push the building to completion and  
10 the financial institutions would be stuck with a  
11 building that's two-thirds complete, which is not much  
12 coverage now.

13           So we've been working with the Stanford  
14 consortium lawyers to try to devise a way to provide  
15 some sense of security to their lenders that CIRM will,  
16 in fact, be in a position to make good on its payments  
17 when the time comes.

18           And we are now examining a variety of  
19 different options, one of which is a collateral pledge  
20 agreement, which would essentially entail an agreement  
21 by CIRM to make payments on the grant directly to the  
22 financial institution if the grantee, in this case the  
23 consortium, were to pledge its grant agreement to the  
24 financial institution as security for the financial  
25 institution's participation in the construction.

1           We're also looking at the possibility of using  
2 the bonds, which, as John said, have now been  
3 authorized to sell, as another means of possibly  
4 providing security at the financial institution. One  
5 of the advantages of the bond is that under state law  
6 debt services payment on principal for bonds is  
7 entitled to the second highest priority on the state  
8 general fund. So second only to education funding, the  
9 state pays debt service and principal, which means that  
10 there's a good deal of security in holding the bonds  
11 because they know they will get payment on interest and  
12 eventually on principal as well.

13           So we're in the process of trying to find a  
14 way to use the tools that we have, either collateral  
15 pledge agreements, bonds or some combination of the  
16 two, to provide sufficient security to the financial  
17 institutions that they will be willing to put their own  
18 money in up front with the understanding that the state  
19 through CIRM will be there when the money is needed.

20           CHAIRMAN LICHTENGER: Thank you, James. Any  
21 questions?

22           MR. ROBSON: So as James mentioned, the Buck  
23 Institute has similar issues regarding finances and the  
24 plan has been for the last month or so to try to work  
25 out an arrangement with the Stanford consortium and

1 then whatever model we develop which seems to satisfy  
2 the bank in San Diego, we would just try to apply the  
3 similar sort of strategy to the Buck Institute when  
4 we're ready.

5           There's -- there's another issue with the Buck  
6 Institute that it has really -- has come up recently in  
7 a letter that we received from them last month which  
8 dealt in part with the finances but also with the fact  
9 that they are looking into using the building a little  
10 differently than originally anticipated. The original  
11 building was to house 12 PI, two floors, research labs  
12 on stem cells.

13           Now they would like to use some of the space  
14 to rent it out to private enterprises concerned with  
15 stem cells or stem cell research, perhaps as a way to  
16 attract research organizations that are outside  
17 California to set up a base here so that they could  
18 take advantage of the stem cell community here and some  
19 of the funding opportunities here.

20           So that said, it has not been brought to us in  
21 any kind of detail. It's not something that was  
22 included in the original application. So it's not --  
23 I'm not quite sure how we are going to proceed with  
24 that and we might want to just discuss that a little  
25 bit.

1           CHAIRMAN LICHTENGER: Yeah. John, you know,  
2 do we know what percentage of the building they are  
3 thinking about renting out?

4           MR. ROBSON: No. We have no details on this  
5 at all. They just said that it would be replacement  
6 for some of the PI -- I mean, that's the implication in  
7 the letter. It doesn't say how much, how many.

8           MR. SERRANO SEWELL: This is David Serrano  
9 Sewell speaking.

10           John, are they looking for our approval or are  
11 they sort of notifying us and are requesting our  
12 opinion?

13           MR. ROBSON: At this point my reading of the  
14 letter -- I don't know if anybody else has read it --  
15 it was sort of a heads up, this may be coming down the  
16 pike, but we haven't seen anything formal from them.

17           Marie?

18           DR. CSETE: Is it a modification of the  
19 scientific plan?

20           MR. ROBSON: It looks like it would be a  
21 modification of the scientific plan, yes. It looks  
22 like that.

23           CHAIRMAN LICHTENGER: Thank you, John.

24           DR. TROUNSON: This is Alan Trounson.

25           I think until we get the details of what they

1 are proposing -- I did visit them and they generally  
2 utilized the facilities in these kind of ways. So, you  
3 know, they do make space available for social entities,  
4 so should they be in that particular area.

5 I think we need to wait until we get, you  
6 know, some more complete details. I'm not overly  
7 concerned right at the moment, but I think if it was a  
8 major adjustment of the scientific focus, I would have  
9 some concerns, but I think we ought to wait until we've  
10 got more information on it.

11 MR. ROBSON: We really have very little  
12 information.

13 MR. SHEEHY: A final question for James. This  
14 is Jeff Sheehy.

15 I am concerned because the idea was that we  
16 were building this space. Our researchers would --  
17 served by the researchers would do their work in this  
18 space. We actually were getting a return in a  
19 recalculation of the indirect costs.

20 And so it looks like what Buck is doing is now  
21 making money off the state's investment. It's a  
22 private entity and it's taken the state's investment  
23 and is turning it into their own revenue stream and I  
24 am very uncomfortable with this. And my sense is if  
25 that's going to happen, we should either not go forward

1 or get a return because we -- as everybody knows,  
2 California taxpayers money is very dear right now.

3 VICE CHAIR SERRANO SEWELL: This is David  
4 Serrano Sewell. So I had a question for James.

5 That has to do with the -- if you can refresh  
6 my memory as to the facilities FGAP and how did that --  
7 how this may come into play if an application is  
8 modified to some degree. And we're dealing with that  
9 at some level, I think, for these three institutions  
10 that you're talking about, John.

11 At what point does it trigger the president's  
12 involvement? What discretionary authority do we have?  
13 Are they obligated to notify us and then we look at it  
14 and do what we're doing today? How might that play  
15 out?

16 MR. HARRISON: This is James Harrison. There  
17 are a couple of different provisions that are relevant  
18 here. With respect to -- well, first of all, when you  
19 have a situation where the estimates that have been  
20 presented, and the part 2 application are estimates  
21 only, not reflective of an actual construction  
22 contract, and then once a construction contract is  
23 entered, if there are cost savings as a result of the  
24 construction contract being entered, then CIRM under  
25 the FGAP has an opportunity to share in those savings.

1           There is an exception which implicates the  
2 president's authority and that is if the -- the  
3 applicant has been able to achieve cost savings equal  
4 to or less than the difference between the amount of  
5 the award they requested and what CIRM actually gave  
6 them, then the president has authority to, in essence,  
7 let them keep those savings.

8           Likewise, once the project is complete, if the  
9 costs -- going to your question, David Lichtenger --  
10 are less than what was actually projected, then again  
11 CIRM has an opportunity to save and to share in those  
12 savings, again, with power to the president to make a  
13 determination that some of the changes that have been  
14 made further the -- provide further equipment or  
15 further square footage not identified in the  
16 application that served the mission of the facility.  
17 Then in that instance, CIRM would not share in those  
18 savings because they would be redirected towards the  
19 mission of the program.

20           So it really depends is the answer the  
21 president does have --

22           VICE CHAIR SERRANO SEWELL: But that's on the  
23 construction side. What about the --

24           MR. HARRISON: Well, if there is a divergence  
25 between the scientific proposal that was presented and

1 reviewed and what is currently being proposed, then  
2 that would be a time, I think, at which the Facilities  
3 Working Group would get involved and perhaps request,  
4 you know, a supplemental scientific review, conduct  
5 some rereview itself or develop another process for  
6 dealing with the proposed change because the FGAP  
7 itself doesn't really address that particular  
8 situation.

9 CHAIRMAN LICHTENGER: So there's -- David  
10 Lichtenger. So there's nothing in the FGAP that deals  
11 with any inconsistencies other than the financial ones  
12 that may develop?

13 MR. HARRISON: Yeah. I think the  
14 inconsistencies that the FGAP deals with deal with  
15 modification in the actual construction of the  
16 facility, you know, new materials, different sizing,  
17 changes to the actual construction specification as  
18 opposed to changes to the scientific plan itself.

19 CHAIRMAN LICHTENGER: Or the use of the  
20 facility.

21 MR. HARRISON: Or the use of the facility,  
22 right.

23 CHAIRMAN LICHTENGER: Thank you, James.

24 MR. HARRISON: Well, I think if the chairman  
25 agrees, we can move on. My final comment would be if

1 the president thinks it's wise not to do anything at  
2 this time, that's fine. However, I wouldn't want our  
3 silence to be read by Buck Institute as a tacit  
4 agreement that we think it's okay what they are doing.  
5 Obviously, as Alan said, we don't have enough  
6 information yet other than being made aware of the  
7 situation.

8 CHAIRMAN LICHTENGER: Thank you, James.

9 Are there any other questions?

10 VICE CHAIR SERRANO SEWELL: John, just to --  
11 David Serrano Sewell on this point.

12 I think we're going to want to take a very  
13 close hard look at a comparison between the project  
14 previously in all aspects and the comparison to any  
15 proposed changes and, you know, use square footage,  
16 number of PIs, cost, you know, percentage of building  
17 for CIRM, you know, all the major areas where we get  
18 that information and distribute that to the  
19 facilities.

20 CHAIRMAN LICHTENGER: Absolutely. In fact,  
21 that's a perfect lead-in to the discussion for Merced.  
22 So essentially that's what we've been doing over the  
23 last three or four months. So if you're finished with  
24 this one, okay, we'll move on then.

25 So just as a little bit of background, we

1 began to hear -- if you recall on this project, the  
2 plan was to build what they called a stem cell  
3 instrumentation foundry, a microfabrication facility.  
4 It was going to be located at an old airport about  
5 seven or eight miles from campus in existing buildings  
6 on land owned by the county. There were some problems  
7 with the length of the lease we were struggling with,  
8 and they were struggling to meet CIRM's requirements.

9           But then we began to hear from them in the  
10 fall that perhaps that was not going to be the site of  
11 choice because there were -- in addition to these  
12 problems with the lease, there were also -- it looked  
13 like there were going to be some cost overruns over  
14 what they had estimated.

15           So they came in and came back to us because  
16 we've had some written exchanges, we've had some  
17 conference calls, some private conversations, and they  
18 came back with a new proposal which was to relocate  
19 the -- the stem cell foundry into their science and  
20 engineering building, which is the only science  
21 building that they have on their campus. It's a large  
22 new environmentally sensitive state building, and it's  
23 right in the heart of campus. It's the same building  
24 where the faculty members that would actually use the  
25 facility, their laboratory is located in the same

1 building. Students are around. So it had some  
2 attraction in that way.

3 I think for us we had concerns, but the main  
4 difference in the project is that the laboratory size  
5 was going to be shrunk by about 40 percent. The office  
6 space, conference room, administrative space was  
7 roughly the same in size, didn't look too problematic.  
8 A little bit distributed around the building, but the  
9 size of the lab was a concern. Could they get all the  
10 equipment in there, could they accommodate people from  
11 outside campus, could they achieve the scientific  
12 mission that was approved in the original application.

13 So we felt that -- we had some conversations  
14 with them about this. They tried to assure us that  
15 they could -- that they could meet those needs, but we  
16 decided that the most expeditious thing to do was to go  
17 visit this facility, see what they had in mind and take  
18 some experts with us so we could get a good clear  
19 picture. So we did that. Unfortunately, we weren't  
20 able to schedule the trip until last Friday on the  
21 6th. And so there was a group of us who went. We can  
22 move on here.

23 So this is the group from CIRM who is Alan  
24 Trounson and myself, Marie Csete, Jeff Lomax, who's in  
25 the audience there. And then we had Ray Groom who has

1 been our consultant on many of these facilities  
2 projects. Ray is not a clean room expert. This is the  
3 clean room facility they were going to build. And  
4 Marie Csete was able to locate, identify a fellow at  
5 Berkeley, Bill Flounders, who is the manager of the  
6 microfabrication lab there. They just built a brand  
7 new facility that just opened about two weeks ago. And  
8 he agreed to come along as a very highly specialized  
9 clean room consultant.

10 So we went out and met with this group from --  
11 from UC Merced from the provost -- the chancellor  
12 actually made a brief appearance at one point. So we  
13 had most of the people who were the administrators who  
14 would be involved in the project and we met many of the  
15 faculty members, I think most of the faculty members on  
16 campus who would make use of the facility. And, in  
17 fact, they spent some time talking with us about how  
18 they would use the facility.

19 We were there for about four hours and we  
20 spent -- about half of the time we spent really  
21 reviewing the details of their plan and we had alerted  
22 them what we were -- one of our main concerns, general  
23 concern was that they wouldn't be able to do the work  
24 that they had proposed in this new space.

25 We went into it quite in detail and Ray Groom

1 and Bill Flounders really kind of got into it with  
2 them. Bill Flounders I thought was really quite  
3 terrific -- both he and Ray were both terrific, but  
4 Bill was very good and focused on this thing.

5 He made the point that he had seen the  
6 original plans and thought that the facility they were  
7 proposing to build was much too large and being off  
8 campus, it was going to be unsustainable. It wouldn't  
9 be used enough. The overhead costs would be too high.  
10 It would never be successful. It would never really  
11 get going.

12 So he thought moving it onto campus was a  
13 terrific idea. And when he reviewed the detailed  
14 drawings that they had given us, and we walked through  
15 the building, he said this is perfect. They're going  
16 to be able to get all of their equipment in there.  
17 There's some room for expansion in that area.

18 Some of the ancillary facilities that they  
19 were -- adjacent facilities that they would use like a  
20 TEM, transmission electron microscopy imaging facility,  
21 which is currently located out at Castle, the  
22 off-campus site, well, they are installing a TEM and  
23 building it in a room that's two doors down from where  
24 this new foundry would be. So it really looked like  
25 they had -- the facility itself was going to be quite

1 fine.

2 We spent an hour of the time visiting these  
3 proposed labs, discussing the nuts and bolts. That was  
4 mostly Ray and Bill were discussing it and crawling  
5 around the pipes and all that sort of stuff, and we  
6 went and visited the faculty members in their labs.  
7 They have very nice lab facilities in this building.

8 The new campus is a small campus, but they  
9 are really trying to find a niche where they can be  
10 successful, make a name for themselves and grow, and  
11 they seem to be doing a really good job. They've got  
12 energetic young faculty members for the most part who  
13 are quite eager to collaborate and quite eager to use  
14 this facility, and we heard from all of them about how  
15 they would take advantage of the facility.

16 So in the end we got a report which I got  
17 yesterday from Ray Groom. Hopefully, I think you got  
18 this, a summary of the visit. I wrote something up  
19 last night, a summary of our visit. I attached the  
20 report that I received from Ray Groom, which arrived  
21 last night.

22 (Pause in the proceedings.)

23 CHAIRMAN LICHTENGER: Dr. Trounson is speaking  
24 now.

25 DR. TROUNSON: So I'm talking about the

1 only -- the only negative component of the proposal as  
2 we saw was an underestimate of the costs of the  
3 specific tools that are needed in this specialized  
4 facility, and that they agreed that they had  
5 underestimated their costs.

6           They did ask if there was any way of -- of  
7 CIRM looking at making some adjustments on the savings  
8 to be able to accommodate that because the -- the tools  
9 are really critical for the functioning of this unit.  
10 And my view was to ask them to write to us all the  
11 details of it.

12           And so they just sent that and I've had a  
13 quick read of it. I think there's probably a sound  
14 case for doing that. I think it makes the facility  
15 more functional more quickly. I wouldn't have a  
16 particular problem with it unless any of the members of  
17 the Working Group do.

18           That was the only downside. Everything else  
19 was pretty much a very strong upside. So this is one  
20 of those times when you felt -- everyone felt that, you  
21 know, thank goodness, they had made a reassessment in  
22 reality.

23           So I think it could be -- you could feel  
24 comfortable, as John said, in endorsing this and no  
25 need for really a review. The science will be as it

1 is -- as it was stated and the facility is a bit  
2 smaller but much more likely to be sustainable and more  
3 used.

4 MS. FEIT: This is Marcy Feit. Do we know  
5 what that's going to cost CIRM?

6 DR. TROUNSON: You mean the additional --  
7 there is a sign it's --

8 MR. HARRISON: It's about 1.3 million and the  
9 new equipment was about half of that.

10 DR. TROUNSON: About \$600,000, about half of  
11 the savings that we were making. The cost of the  
12 building because it's -- it's -- it's -- it's a  
13 renovation really and it's within a very good  
14 building. And so what you've got to do is make some  
15 alteration -- to -- to the air inflows and so forth.  
16 There is a net saving to us and that we're talking  
17 about half of that net savings.

18 CHAIR LICHTENGER: Marcy, did you have  
19 something else to say?

20 MS. FEIT: No. I just wondered what, you  
21 know, the net cost to CIRM was going to be for the  
22 change.

23 CHAIR LICHTENGER: So, Marcy, I'm asking --  
24 you probably don't have this document that we just got.

25 MR. HARRISON: I don't have it in front of me,

1 no. I think the figures are there for CIRM.

2 CHAIR LICHTENGER: But it appears that it's  
3 less costly to CIRM and less costly project and more  
4 efficient, but we'll try to get you copies of this.

5 MS. FEIT: I just had put on my desk a whole  
6 stack of copies for this meeting. So I'm looking  
7 through them as I'm talking to you.

8 CHAIR LICHTENGER: Yeah, I don't think -- we  
9 could try to e-mail it to you. Pat is going to try to  
10 send it to you right now.

11 MS. FEIT: I have it now. It's a thick  
12 document about the facility with a lot of numbers on  
13 it. Is that the document?

14 CHAIR LICHTENGER: No. There's an older one  
15 that -- there was one that we did include in the packet  
16 and then there's another letter that just came this  
17 afternoon.

18 MS. FEIT: Okay. I probably didn't --

19 CHAIR LICHTENGER: Pat is probably going to  
20 try to e-mail that one to you.

21 There's one other thing that I might mention,  
22 which is somewhat ancillary to this. We had been -- we  
23 had also been a bit concerned about whether or not they  
24 were going to be able to raise their matching funds.  
25 So we asked them about that, especially in the current

1 situation, and what we've been hearing about some of  
2 the other UC campuses.

3           And they assured us that they have the money.  
4 The money has been allocated. They have it in the bank  
5 and they're ready to go. So there don't seem to be any  
6 problems. They -- they think they -- if we get the  
7 go-ahead quickly, which we plan to do with them, that  
8 they can get the project started and the construction  
9 started in the summer and do the heavy demolition stuff  
10 when the students aren't there and be ready and have  
11 the thing about ready to go next summer.

12           MR. SERRANO SEWELL: So, John, I have a  
13 question. Are we going to be having a formal kind of  
14 document issued by the consultant?

15           MR. ROBSON: He said he would send me  
16 something, but he left Merced and headed off towards  
17 Yosemite, not back to Berkeley. So I'm not sure  
18 exactly when I'm going to get it.

19           CHAIR LICHTENGER: So I'd like to open this up  
20 to questions by the Facilities Working Group, but my  
21 gut reaction here is that if we can get a better  
22 facility for less money, and we've got, you know, the  
23 room consultant and Ray Groom and management of CIRM to  
24 agree that there's a better project, you know, unless  
25 there's something that comes up, you know, I would

1 assume that we would be supportive of this.

2 And I don't know if we need to have any kind  
3 of vote eventually, but I assume James will let us  
4 know. But any questions?

5 MS. FEIT: This is Marcy again. I appreciate  
6 the site visit. I think it's helpful to know that  
7 someone went out and actually look a look and talked to  
8 them. That's very helpful.

9 CHAIR LICHTENGER: Great. Thanks, Marcy.

10 So, James, you'll let us know, and we'll  
11 eventually have a vote on this.

12 MR. HARRISON: At this point no action by the  
13 Working Group is necessary. Once they have a  
14 construction contract signed the president can make a  
15 determination that the changes that are made don't  
16 compromise the scientific value of the facility. And  
17 he may determine that the cost savings, to the extent  
18 that it can be used to improve the facility, is  
19 something that Merced can be developing in the  
20 president's discretion based on the information  
21 received at that time.

22 MR. SERRANO SEWELL: What about the dollars on  
23 the equipment? I was talking to John Robson about  
24 this. How would that work?

25 MR. HARRISON: Well, again, if I have my

1 numbers correct, I believe the original request that  
2 Merced made was \$7 million and the actual award was  
3 6,304,000. So it's a differential of approximately  
4 700,000.

5 So to the extent the president makes the  
6 determination that the proposed changes don't affect  
7 the specification facility and they don't compromise  
8 the scientific value of the facility, then he can make  
9 a determination that those savings can remain with the  
10 grantee. In other words, they could put that 700,000  
11 towards the additional 600,000 or to the equipment.

12 MR. SERRANO SEWELL: So no change needs to be  
13 actually made on our part.

14 MR. HARRISON: At this time, that's correct.

15 CHAIRMAN LICHTENGER: Ed, go ahead.

16 MR. KASHIAN: Perhaps we had can upgrade the  
17 application and get more money.

18 MR. ROBSON: They haven't asked for that. So  
19 that's -- that's all I had in this report.

20 MR. SERRANO SEWELL: Yeah. I think, you know,  
21 I feel comfortable, I would like to obviously take some  
22 time to go through this report from Ray Groom in a  
23 little more detail. It's the first I'm seeing it.

24 MR. ROBSON: Yeah. I'm sorry we couldn't get  
25 this stuff to you ahead.

1           MR. SERRANO SEWELL: And then see the clean  
2 room expert. Assuming that it all reads through the  
3 way it seems to, I think this -- you know, this looks  
4 like a better project and more cost effective. So I  
5 would be wholeheartedly supporting it.

6           MR. ROBSON: Great.

7           CHAIRMAN LICHTENGER: So, John, you've gone  
8 through the Stanford location. We've gone through the  
9 Buck. Merced. So that's pretty much it.

10          MR. ROBSON: Those are the issues we wanted to  
11 cover.

12          CHAIRMAN LICHTENGER: Any other questions for  
13 John from members? No members of the public here. So  
14 thank you, John.

15          Ed?

16          MR. KASHIAN: It appears like the only  
17 problematic position is the Salk Institute.

18          DR. TROUNSON: I think that's right. I'd just  
19 like to see some more detail as to what they were  
20 proposing and it may be worth us to taking a site visit  
21 there as well, but I'm loathe to sort of be too  
22 definitive until I've seen much more about what they  
23 had in mind really, whether that was -- you know, that  
24 was stem cell related or not stem cell related.  
25 There's a number of issues that come in there.

1           So I think we'll get back to you on that when  
2 there is a lot more information rather than speculating  
3 about it.

4           CHAIR LICHTENGER: Great. Thank you,  
5 Dr. Trounson.

6           So at this point we can move on to agenda item  
7 5, discussion of terms, GMP report recommendations.  
8 Dr. Marie Csete, CIRM's chief scientific officer, will  
9 now make a presentation.

10          DR. CSETE: So, as you know, we have been  
11 trying to put together workshops that anticipate down  
12 the road and bring in experts from around the country  
13 as well as from California to advise us on the best  
14 possible use of certain kinds of technologies and the  
15 best research agenda.

16          And a good manufacturing process is going to  
17 be an essential part of bringing cell therapy to the  
18 clinic. So I think that right now we're a little bit  
19 in the dark and so this workshop was really well  
20 timed.

21          Blood banks have a lot of regulations imposed  
22 by FDA in terms of their processes before those cells  
23 go into patients. Similarly, for solid organ  
24 transplantation there are a lot of recommendations that  
25 the FDA will be able to bring into recommendations for

1 GMP pluripotent stem cells, but to date the GMP  
2 recommendations out of the FDA do not deal with  
3 pluripotent stem cells. Nonetheless, the FDA has  
4 approved for clinical testing a human embryonic stem  
5 cell derived product.

6 The details of GMP and the scale-up of that  
7 master bank from Geron are not available to the public.  
8 So what we have to go with is what our advisors in this  
9 workshop brought to us as well as an extensive  
10 literature search that I did with help from Dr. Talib  
11 in the office and a summary report which we contracted  
12 after the meeting to just affirm really what we heard  
13 in the meeting.

14 CHAIRMAN LICHTENGER: Do we have that?

15 DR. CSETE: Yes. So our workshop was held in  
16 November and we brought together leaders from  
17 commercial contract GMP facilities, academic GMP  
18 facilities in the State of California, biotechnology  
19 experts who are using GMP in the development of their  
20 products, regulatory experts and members of the PACT  
21 which is a really wonderful NIH consortium of currently  
22 four GMP facilities to tell us how that was going.

23 And Darin Weber who did the consultation for  
24 us from the Biologics -- that should be BC Consulting  
25 Group was a former FDA regulator who's now consulting

1 on these issues.

2           So taking together a huge amount of material,  
3 this -- I will give you the recommendations that we  
4 walked away with after all of this material was  
5 digested.

6           We got a very clear message from the  
7 biotechnology industry and from the contract GMP  
8 organizations that they do not have educated people  
9 coming out of colleges and universities to field their  
10 technical needs, and our Bridges program we decided was  
11 a really good place to respond to this need.

12           So next year when we issue the Bridges program  
13 we'll try to get the universities to focus in on the  
14 kind of technical training that's needed to have people  
15 graduate directly from these programs and assume jobs  
16 in California's biotechnology industry.

17           So the Bridges timing was just perfect as a  
18 matter of fact. And I started to look around the  
19 country to see what kind of educational programs there  
20 are in GMP so that we can write the RSA with these best  
21 practices in mind.

22           The second major insight from the workshop is  
23 that there is significant expertise in California and  
24 capacity for GMP. So we heard from Progenitor, Lonza,  
25 and Cognate, which are the three biggest commercial GMP

1 facilities in the country. Two of them have major  
2 manufacturing presence in California right now. That's  
3 Progenitor and Cognate.

4 We also heard from other manufacturers after  
5 the workshop who found out about this through their  
6 contact and called me and said, "Hey, we have capacity,  
7 too. We need business and we're in California." So  
8 there's even more than what's identified in the  
9 workshop and by Darin Weber's report.

10 In terms of the intellectual structure around  
11 GMP, we also heard a clear recommendation that we  
12 should take advantage of what's out there already and  
13 try to get a patch facility in California. The four  
14 that are currently up and running and in their fifth  
15 year of operation are all outside California. The NIH  
16 has an RFA out now to which applications have been  
17 received to expand this by either one or two more  
18 programs.

19 We decided to -- I decided to call around to  
20 all the academic programs that would potentially be  
21 applicants to this programs, and there was really only  
22 one that was physically ready and intellectually ready  
23 to put in an application, and that was the City of  
24 Hope.

25 So they hadn't planned on putting in an

1 application, but when we discussed the recommendations  
2 of the workshop and the City of Hope GMP director was  
3 at our workshop, he agreed that the timing was right  
4 for him to put in an application, and I wrote a letter  
5 of support for this application to the NIH.

6           So they -- their application was really  
7 focused on getting down to the details of the  
8 pluripotent cell GMP, making a unique niche for  
9 themselves within this PACT program, this PACT  
10 consortium. So we're working with them to try to hope  
11 that they get funded for this. And, you know, I think  
12 that the application will be competitive based on the  
13 long expertise of this program in this area.

14           The fourth workshop insight was that there's  
15 still significant amounts of research at every level  
16 that are required to optimize GMP for stem cell  
17 therapies. So we will continue to call for basic  
18 research under all of our core initiatives that cover  
19 this research need.

20           So, for example, derivation of cells under GMP  
21 conditions would be appropriate for basic biology  
22 research funding, for early translation, the methods to  
23 optimize the media, reduce costs, make SOPs for safe  
24 scale-up are all eligible parts for early translation,  
25 and we expect that our disease teams are going to have

1 to make clear-cut decisions about how their products  
2 will be developing the GMP as part of their  
3 translational program.

4 And, finally, the training ground programs --  
5 the training programs, as you saw before, the Bridges  
6 is really quite perfectly suited for us to focus a  
7 little bit on the work force.

8 CHAIRMAN LICHTENGER: Thank you, Dr. Csete.  
9 Do we have any questions from members of the Facilities  
10 Working Group?

11 MR. SERRANO SEWELL: David Serrano Sewell  
12 speaking.

13 So this is a great report. It's interesting.  
14 I don't know. I haven't reached a conclusion one way  
15 or the other. So David asked this question about our  
16 previous report using Merced. Was there a  
17 difference -- were there any other opinions? Because  
18 the report is very strong, "the overwhelming opinion,"  
19 "our overall recommendation."

20 Okay. So there was no voices out there "isn't  
21 it enough" or "maybe you should tweak it"? Which is  
22 fine. I'm just curious.

23 DR. CSETE: We hear occasionally from people  
24 that they think there's not enough, but whenever I  
25 confront that with, hey, have you called X, Y and Z,

1 then they just were unaware of the availability of  
2 agencies.

3 CHAIRMAN LICHTENGER: I have a couple more  
4 questions.

5 VICE CHAIR SERRANO SEWELL: So this goes to  
6 what -- what the remaining dollars are to work for  
7 facilities; right? It's like 30 million. Does that  
8 sound --

9 DR. CSETE: Yes, that sounds -- I think I  
10 understood there would be about \$30 million that could  
11 potentially go for bricks and mortar. So we have  
12 options to consider. So, for example, we did not make  
13 a commitment to City of Hope that if they got into the  
14 PACT program that we would help them with their  
15 explanation of the GMP facility which is underway, but  
16 I think down the road that's a potential practice to  
17 consider. Yes, we have to do it by competition for  
18 sure.

19 But the other thing to consider is just to use  
20 that money in the research programs to facilitate our  
21 grantees having access to the best GMP facilities for  
22 their own use. So we would use it for research funds  
23 rather than for bricks and mortar.

24 MR. SERRANO SEWELL: That's a significant  
25 policy shift.

1 DR. CSETE: That would be a policy shift and I  
2 think we just have to see how the NIH application goes  
3 and what the options are for expanding.

4 So there are academic facilities around.  
5 UCLA's facility Alan and I saw, and we saw the GLP part  
6 of it, which CIRM is funding as part of the lab there.  
7 Davis's program was not quite yet up and running in a  
8 way that they could be applicable for the PACT program,  
9 but you could see that in a year from now they would  
10 be, and so that would be part of a competition.

11 City of Hope is certainly ready. Right here  
12 in town the blood systems program that's affiliated  
13 with UCSF is underused in terms of its space. So there  
14 would be competition, I think, for us to support space  
15 as well.

16 DR. TROUNSON: Alan Trounson. What I was  
17 going to say is there are a few people who might  
18 disagree with our strong recommendation. We've heard  
19 that, for example, at Geron. Yet Geron was a member of  
20 the workshop. They had their key person there. So we  
21 think that's a bit strange that, on the one hand, they  
22 were there and yet they disagree with us.

23 So we thought that we would -- it would be  
24 worthwhile to sort of go and try and source that  
25 particular divergence of views specifically, but it is

1 a very small cry in a large wilderness.

2 DR. CSETE: And not officially transmitted to  
3 us.

4 DR. TROUNSON: Maybe five years down the track  
5 everything would be very different and we may need to  
6 do something very specifically for a larger scale  
7 preliminary trial work. So I think keeping out how to  
8 drive at the moment still would be a worthwhile thing  
9 in my own view.

10 MR. SERRANO SEWELL: And I don't know, Alan,  
11 if a decision needs to be made anytime soon, but my  
12 point of that -- the ICOC will have to decide what to  
13 do with this remaining \$30 million. It could very well  
14 decide, no, we're not going to dedicate it for a  
15 facility that's specified under the initiative, but we  
16 want to do it for something else. That's perfectly  
17 within their authority because I don't think we have to  
18 spend it on the 300 million.

19 They may also decide that the 300 million is  
20 something you do want to spend to total and let's find  
21 something else to do with this \$30 million. It could  
22 be a project like you described, a limited focus RFA,  
23 or it could be something entirely different. At this  
24 point just what I'm hearing from Alan and you is we  
25 really don't know.

1 DR. CSETE: And probably also you're referring  
2 to the fact that at some point Mr. Klein wanted us to  
3 look at abandoned facilities in the state that were up  
4 for sale. And, you know, these are -- and we did. You  
5 know, these are \$200 million purchases that cost a  
6 million dollars a year to run without any use and we  
7 have a much smaller budget in mind here for how we can  
8 optimize access to GMP for our grantees.

9 So I think one of the clear messages that I  
10 felt came out of the recommendations of the experts was  
11 that we not get into the business of purchasing and  
12 running a facility. Rather co-opt all the intellectual  
13 and structural capital that we can.

14 CHAIRMAN LICHTENGER: Any other comment?

15 MR. SHEEHY: This is Jeff Sheehy. Is it still  
16 true that the science isn't quiet there for that kind  
17 of scale in terms of -- there's lot of debate about  
18 what is the best way to do this, which is why you did  
19 mention further research.

20 DR. CSETE: Right, yeah. So it is now  
21 possible, of course, to expand undifferentiated human  
22 embryonic stem cells without any exposure to animal  
23 products, but that's very expensive. So in many ways  
24 that's not optimized.

25 Then the scale-up issues are constrained by

1 how much manipulation has to happen in your master bank  
2 before -- before you freeze down the cells as a master  
3 bank, and that's very dependent on the specific  
4 differentiation pathway you are going to.

5 Now, people can do it, but it's -- you know,  
6 purity issues and quality assurance issues are just not  
7 there perfectly yet. So there needs to be some basic  
8 research and I think that disease team is really going  
9 to encourage this because people are going to have  
10 to -- if they have a cell therapy that's first in man,  
11 in four years they are going to have a distinct plan  
12 for how they expand the cells and it's going to have to  
13 get run by the FDA.

14 MR. SHEEHY: I mean, aren't we years away from  
15 needing these facilities?

16 DR. CSETE: Well, you know, John had need to  
17 establish their master cell bank. Novacell  
18 independently established their master cell bank and I  
19 know of a number of biotechnology companies now in the  
20 state that are doing their own GMP just to do that.

21 So if we're wildly successful with these teams  
22 and all of the ones we fund are cell therapies, even  
23 under those circumstances I think the capacity in this  
24 state is there for the next five years. So, you know,  
25 if you calculate that all ten of them are going to

1 require GMP, I think our commercial entities would be  
2 very happy for that business.

3 And so we could reassess as we go along, but I  
4 can't imagine that in five years we're going to be  
5 ruing this decision.

6 CHAIR LICHTENGER: So, Marie, what do you  
7 think this committee, this Working Group and the ideals  
8 that you should take up at this policy discussion on  
9 what's new?

10 DR. CSETE: I think I would prefer that we  
11 wait until we see what the NIH's decision is on the  
12 PACT. I think they go to review at the end of this  
13 month. So probably by June we should have an idea.  
14 And that way we'll know what other capacity there is in  
15 this state for us to leverage.

16 MR. SHEEHY: This is Jeff Sheehy again. Would  
17 there be any other type of facility, specialized  
18 facility needs that lie on the horizon? This is just  
19 one particular type GMP.

20 DR. CSETE: Right, right. So the  
21 manufacturing facilities for specific kinds of  
22 biologics and drugs are something that we can envision  
23 our grantees needing depending on the nature of their  
24 research into these teams. And I haven't done the sort  
25 of wide literature review on those in the same way that

1 we did on the GMP facilities, but my sense is that for  
2 the manufacturing of antibody products and the  
3 manufacturing of drugs the state has ample facilities.

4 CHAIRMAN LICHTENGER: Just for some of the  
5 members, could you give an idea on a per-square-foot  
6 basis of some of these GMP facilities. They are very  
7 expensive.

8 DR. CSETE: Yeah, they are really expensive.  
9 And I don't know what the dollar amount is per square  
10 foot, but I can tell you that -- well, we looked -- I  
11 looked at the City of Hope one in detail. They have  
12 six small culture rooms basically and the other space  
13 that you need is anterooms for air and pressure control  
14 and gowning.

15 CHAIRMAN LICHTENGER: So \$2,000 a foot, along  
16 that -- it's very expensive.

17 DR. CSETE: Something like that. It's  
18 insanely expensive, yeah.

19 And the sterilization issues in the rooms are  
20 unique to places that are expanding cells.

21 MR. SERRANO SEWELL: Marie, do you think  
22 anything really changed in the way we -- when Zack  
23 originally put together the scientific report, the one,  
24 you know, that is guiding us right now that Alan is  
25 revising, there was a call for GMP, and so was that

1 just because we had to put something in there that  
2 sounded like the right thing to do at the time?

3 DR. CSETE: I think that was far reaching.

4 MR. SERRANO SEWELL: It was far reaching at  
5 the time and now here we are five years later almost.

6 DR. CSETE: And, in fact, the field has sort  
7 of responded. So one of things that has grown Cognate  
8 and Progenitor --

9 MR. SHEEHY: They thought about it when Zack  
10 was putting it together.

11 DR. CSETE: He was smart. No, but seriously,  
12 one of the reasons that pushed Cognate and Progenitor  
13 to expand is the progress in the bankable stem cells.  
14 So they have really geared up, you know, to grow those  
15 in big abundance. And so that works in our favor, that  
16 that experience is something we can plug into as the  
17 pluripotent cell therapies come to fruition.

18 But I think Zack was wise to recognize that  
19 this was something where there wasn't -- well, there  
20 wasn't regulation established yet. You know, the adult  
21 stem cell work, you can pick and choose regulations,  
22 but there's nothing on pluripotent.

23 MR. SERRANO SEWELL: My point is our  
24 scientific plan called for funding of something, and  
25 now we're not or potentially may not.

1 DR. CSETE: Well, that's true. We potentially  
2 may not fund bricks and mortar, but we are funding  
3 research into scale-up and related issues.

4 CHAIR LICHTENGER: Any other questions for  
5 Dr. Csete?

6 Thank you.

7 So at this point I think we've covered  
8 everything we need to. John? So we don't have any  
9 members of the public, do we? Okay. Anyone else want  
10 to make any final comments before we adjourn the  
11 meeting?

12 MR. SHEEHY: This is Jeff Sheehy. I just want  
13 to commend staff on their thorough and very, very hard  
14 work. I mean, it makes you proud of the people we have  
15 working for this. You know, we've been able to recruit  
16 Merced, I mean, the thoroughness, and thank you so  
17 much. And the GMP issue, again, just very, very  
18 fine-grain detail about these issues and it makes it  
19 easy for us. So thank you again.

20 DR. CSETE: Merced was a happy day.

21 MR. SHEEHY: Yeah, that was a good day. Going  
22 to Merced was a good day.

23 CHAIR LICHTENGER: Okay. Great. Well, at  
24 this point the meeting will stand adjourned. Thank you  
25 very much.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

(Whereupon, the meeting was adjourned at  
3:17 p.m. on March 9, 2009.)

---oOo---

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

CERTIFICATE OF REPORTER

I, PETER TORREANO, a Certified Shorthand Reporter in and for the State of California, do hereby certify that the foregoing transcript of the proceedings before the Scientific and Medical Facilities Working Group of the Independent Citizens' Oversight Committee to the California Institute For Regenerative Medicine in the matter of its regular meeting on March 9, 2009 and held at the location indicated below

CIRM  
210 King Street  
Third Floor  
San Francisco, California

was held as herein appears and that this is the original transcript thereof and that the statements that appear in this transcript were reported telephonically in stenotype by me and transcribed under my direction.

I certify that this transcript is a true and accurate record of the proceedings.

\_\_\_\_\_, 2009  
DATE

\_\_\_\_\_  
PETER TORREANO, CSR 7623  
Torreano Shorthand Reporting  
2959 Winchester Boulevard  
Suite 200B  
Campbell, California 95008  
(408) 379-0900