

BEFORE THE  
SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS  
WORKING GROUP  
TO THE  
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE  
ORGANIZED PURSUANT TO THE  
CALIFORNIA STEM CELL RESEARCH AND CURES ACT  
REGULAR MEETING

LOCATION: 210 KING STREET  
3D FLOOR  
SAN FRANCISCO, CALIFORNIA

DATE: DECEMBER 12, 2008  
9 A.M.

REPORTER: BETH C. DRAIN, CSR  
CSR. NO. 7152

BRS FILE NO.: 82963

## BARRISTERS' REPORTING SERVICE

### I N D E X

| ITEM                                  | DESCRIPTION  | PAGE NO. |
|---------------------------------------|--|----------|
| CALL TO ORDER                         |  | 3        |
| ROLL CALL                             |  | 6        |
| STAFF REPORT                          |  | 9        |
| REGULATORY AND POLICY CONSIDERATIONS: |  |          |
| A.                                    | USE OF SOMATIC CELLS IN CIRM-FUNDED RESEARCH             | 17       |
| B.                                    | USE OF IVF-EMBRYOS FOR WHICH THE GAMETE DONORS WERE PAID | 78       |
| ADDITIONAL POLICY CONSIDERATIONS      |  | --       |

**BARRISTERS' REPORTING SERVICE**

1 SAN FRANCISCO, CALIFORNIA; FRIDAY, DECEMBER 12, 2008

2 9 A.M.

3

4 DR. LOMAX: LET'S JUST LET BERNIE COME  
5 BACK INTO THE ROOM. GOOD MORNING, EVERYONE. THIS  
6 IS GEOFF LOMAX. SHOULD WE START THE TRANSCRIPTION  
7 AT THIS POINT.

8 OKAY. THIS IS THE DECEMBER 12, 2008,  
9 TELECONFERENCE MEETING OF THE MEDICAL AND ETHICAL  
10 STANDARDS WORKING GROUP. WHAT I CAN DO IS IT SOUNDS  
11 LIKE THERE ARE A FEW MORE PEOPLE ON THE LINE OR  
12 COMING IN. WHY DON'T I DO AN INITIAL ROLL CALL; AND  
13 THEN IF WE HEAR MORE PEOPLE COME IN, WE'LL ASK  
14 PEOPLE TO IDENTIFY THEMSELVES.

15 SHERRY LANSING.

16 MS. LANSING: HERE.

17 DR. LOMAX: FRANCISCO PRIETO. JEFF  
18 SHEEHY.

19 MR. SHEEHY: HERE.

20 DR. LOMAX: BERNARD LO.

21 CHAIRMAN LO: HERE.

22 DR. LOMAX: TED PETERS.

23 DR. PETERS: HERE.

24 DR. LOMAX: DOROTHY ROBERTS.

25 DR. ROBERTS: HERE.

**BARRISTERS' REPORTING SERVICE**

1 DR. LOMAX: JOSE CIBELLI.

2 DR. CIBELLI: HERE.

3 DR. LOMAX: ANN KIESSLING.

4 DR. KIESSLING: HERE.

5 DR. LOMAX: JANET ROWLEY. JOHN WAGNER.

6 JAMES WILLERSON.

7 DR. WILLERSON: HERE.

8 DR. LOMAX: IS THERE ANYONE I DIDN'T  
9 MENTION?

10 DR. TAYLOR: ROB TAYLOR IS HERE REMOTELY.

11 DR. LOMAX: OKAY. SORRY ABOUT THAT, ROB.  
12 MISSING YOU FROM MY LIST.

13 DR. TAYLOR: THAT'S ALL RIGHT.

14 CHAIRMAN LO: ANYONE ELSE? PAT, DO WE  
15 HAVE A QUORUM?

16 DR. LOMAX: WE ARE SHORT OF A QUORUM AT  
17 THE MOMENT.

18 CHAIRMAN LO: IF ANYONE BEEPS ON, I'LL  
19 HAVE TO SORT OF FIND OUT WHO THEY ARE.

20 OKAY. LET ME JUST FORMALLY WELCOME  
21 EVERYONE TO THE CALL. IT'S A BEAUTIFUL DAY HERE IN  
22 SAN FRANCISCO. AND, SHERRY, DO YOU WANT TO SAY SOME  
23 THINGS AS WELL TO WELCOME PEOPLE?

24 MS. LANSING: SOMEONE JUST JOINED. DID  
25 SOMEONE JOIN? SORRY. BERNIE.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: SHERRY, DO YOU WANT TO ADD  
2 ANYTHING?

3 MS. LANSING: NO. EVERYTHING JUST CLICKED  
4 OUT. IT WAS WEIRD. I FELT LIKE OTHER PEOPLE JUST  
5 JOINED IN BECAUSE I COULDN'T HEAR ANYTHING YOU SAID.

6 CHAIRMAN LO: SO AS WE'VE BEEN SAYING ALL  
7 ALONG, THIS FIELD MOVES FORWARD, AND WE HAVE TO SORT  
8 OF KEEP UP WITH EMERGING DEVELOPMENTS, BOTH  
9 SCIENTIFICALLY AND IN THE POLICY ARENA. AS WE'LL  
10 SEE, THE ISSUES THAT WE'D LIKE TO ADDRESS TODAY, USE  
11 OF SOMATIC CELLS TO DERIVE IPS CELLS, AND THEN GOING  
12 BACK TO THE ICOC WITH IDEAS AND SUGGESTIONS ABOUT  
13 EMBRYOS MADE WITH OOCYTES FROM PAID DONORS. THEY'RE  
14 BOTH SCIENTIFIC ISSUES AND ALSO SORT OF POLICY  
15 ISSUES WE NEED TO THINK THROUGH.

16 I WANT TO PARTICULARLY WELCOME DOROTHY  
17 ROBERTS TO OUR GROUP. DOROTHY IS THE -- I'M  
18 BLANKING ON THE NAME OF YOUR CHAIR.

19 PROFESSOR ROBERTS: KIRKLAND & ELLIS, A  
20 LAW FIRM IN CHICAGO.

21 CHAIRMAN LO: HAS A DISTINGUISHED NAMED  
22 PROFESSORSHIP AT NORTHWESTERN. AND DOROTHY HAS BOTH  
23 A STELLAR ACADEMIC RECORD AND A CAREER OF PUBLIC  
24 SERVICE. AND WE CERTAINLY WELCOME HER TO THE GROUP  
25 AND LOOK FORWARD TO WELCOMING HER IN PERSON AT THE

## BARRISTERS' REPORTING SERVICE

1 NEXT MEETING. BUT, DOROTHY, DELIGHTED TO HAVE YOU,  
2 AND WE LOOK FORWARD TO YOUR EXPERTISE AND YOUR GOOD  
3 IDEAS.

4 PROFESSOR ROBERTS: THANK YOU. IT'S GOOD  
5 TO BE PART OF THE GROUP.

6 CHAIRMAN LO: I JUST WANT TO REMIND  
7 EVERYONE TO MAKE PLANS TO COME TO OUR MEETING  
8 FEBRUARY 17TH, 18TH IN LOS ANGELES WHERE WE WILL  
9 DISCUSS A NUMBER OF ISSUES, AND WE'LL TALK MORE  
10 ABOUT THAT, BUT IT WILL BE IMPORTANT TO HAVE THIS  
11 FACE-TO-FACE MEETING.

12 ALSO, I WANT TO SORT OF TIP US OFF TO SOME  
13 ISSUES THAT I THINK WE SHOULD BE WORKING ON AS WE  
14 LOOK FORWARD TO THE NEW YEAR. MANY PEOPLE IN THE  
15 FIELD THINK THAT CLINICAL TRIALS OF STEM CELL  
16 THERAPIES WILL BE ON THE HORIZON FASTER THAN WE  
17 THINK. AND CIRM, WITH ALAN AND THE CIRM STRATEGIC  
18 PLAN, IS LOOKING TO BEING A MAJOR PLAYER IN SORT OF  
19 STIMULATING APPROPRIATE INNOVATIVE CLINICAL TRIALS.

20 AND SO I THINK IT WILL BE IMPORTANT FOR US  
21 TO HAVE THOUGHT ABOUT THOSE ISSUES TO KEEP AHEAD OF  
22 THE SCIENCE AS IT EMERGES. SO THIS, I THINK, WILL  
23 BECOME A PRIORITY FOR US, AND WE'LL BE HEARING MORE  
24 ABOUT THAT.

25 ALAN, DO YOU WANT TO SAY ANYTHING ABOUT

## BARRISTERS' REPORTING SERVICE

1 HOW YOU SEE? I PARTICULARLY WANT TO MAKE SURE THAT  
2 WHAT WE DO IN THE SWG REALLY FITS IN WITH THE SORT  
3 OF SCIENTIFIC AND STRATEGIC PLANS, THE EXCITING  
4 PLANS THAT CIRM IS DEVELOPING.

5 DR. TROUNSON: THANKS, BERNIE. WE WILL BE  
6 RELEASING AN RFA OR REQUEST FOR FUNDING IN THE AREA  
7 OF DISEASE TEAMS WHERE WE'RE EXPECTING THE  
8 APPLICANTS TO MAKE AN IND OR A SUBMISSION FOR A  
9 CLINICAL TRIAL WITHIN A FOUR-YEAR PERIOD. SOME OF  
10 THESE TEAMS MAY WELL MAKE IT INTO CLINICAL TRIAL  
11 BEFORE THAT TIME. AND WE NEED TO BE VERY  
12 COMFORTABLE WITH OUR VIEWS ABOUT THE CLINICAL  
13 TRIALS, AND THAT NEEDS TO BE IN CONCERT WITH, OF  
14 COURSE, THE MAJOR REGULATORY BODIES SUCH AS THE FDA,  
15 AND WE NEED TO CONSIDER THE SITUATION WITH NATIONAL  
16 STEM CELL RESEARCH SOCIETY AND PERHAPS EVEN IN OTHER  
17 INTERNATIONAL REGULATORY BODIES BECAUSE IT'S  
18 POSSIBLE THAT SOME OF THE CLINICAL TRIALS FOR THE  
19 WORK THAT WE'LL BE DOING WILL BE CONDUCTED IN OTHER  
20 STATES OR EVEN IN OTHER COUNTRIES.

21 SO I THINK THIS IS A PARTICULAR CHALLENGE  
22 FOR US TO HAVE HAD A PUBLIC DISCUSSION AND ALSO TO  
23 HAVE SOME INPUTS ON THE VIEWS WITH RESPECT TO THE  
24 REGULATORY AGENCIES AND THE RECOMMENDATIONS THAT ARE  
25 COMING FROM SOME OF THE SENIOR REPRESENTATIVE

## BARRISTERS' REPORTING SERVICE

1 BODIES.

2 CHAIRMAN LO: OKAY. SO AS ALAN ALLUDED  
3 TO, THE ISSCR, INTERNATIONAL SOCIETY FOR STEM CELL  
4 RESEARCH, HAS JUST ISSUED DETAILED GUIDELINES FOR  
5 STEM CELL CLINICAL TRIALS. SO I THINK ONE THING  
6 WE'RE GOING TO NEED TO DO AS A COMMITTEE IS TO SORT  
7 OF FAMILIARIZE OURSELVES WITH THOSE, UNDERSTAND WHAT  
8 THEY'VE DONE, THE ISSUES THAT ARE LEFT TO BE DONE.

9 AND ALSO, AS ALAN SUGGESTED, THERE ARE  
10 REGULATORY SCHEMES IN PLACE. BOTH THE FDA AND OTHER  
11 COUNTRIES WILL HAVE REGULATIONS, AND ONE OF THE  
12 THINGS WE WANT TO DO HERE IS MAKE SURE GOOD SCIENCE  
13 GOES FORWARD WITH GOOD ETHICAL GUIDELINES, BUT NOT  
14 TO IMPOSE REGULATIONS THAT ARE EITHER UNNECESSARY OR  
15 INCONSISTENT WITH WHAT REGULATORY BODIES ON A  
16 NATIONAL SCHEME ARE DOING.

17 SO IT'S GOING TO BE IMPORTANT FOR US AS AN  
18 SWG TO SORT OF TAKE THE LEAD IN HELPING CIRM  
19 UNDERSTAND WHAT THE ISSUES ARE, WHERE EXISTING  
20 REGULATIONS HAVE BEEN COVERED, WHERE THERE ARE NEW  
21 THINGS ABOUT STEM CELL RESEARCH THAT MAY REQUIRE  
22 INVESTIGATORS TO PAY PARTICULAR ATTENTION BEYOND  
23 JUST THE MINIMUM REGULATIONS.

24 THIS WILL BE A FOCUS OF WHAT WE'LL BE  
25 DOING, AND I WILL WORK WITH GEOFF TO SORT OF HELP US



## BARRISTERS' REPORTING SERVICE

1 UNDERSTAND WHAT THESE ISSCR GUIDELINES ARE ALL  
2 ABOUT.

3 OKAY. SO THE TWO ISSUES THAT WE'D LIKE TO  
4 HANDLE, TO ADDRESS TODAY ARE SOMATIC CELLS AND  
5 PAYMENT -- I MEAN EMBRYONIC STEM CELLS DERIVED FROM  
6 OOCYTES WITH PAID DONORS. BUT BEFORE WE DO THAT, I  
7 WANT TO SORT OF ASK GEOFF TO GIVE US A STAFF REPORT  
8 ON A COUPLE OF THINGS THAT HAVE HAPPENED SINCE WE  
9 LAST WERE TOGETHER.

10 HAS ANYBODY JOINED THE CALL SINCE WE TOOK  
11 ROLL?

12 DR. PRIETO: YES. FRANCISCO PRIETO HERE.

13 CHAIRMAN LO: WELCOME, FRANCISCO. ANYONE  
14 ELSE? OKAY.

15 DR. LOMAX: THANK YOU, BERNIE. QUICKLY  
16 UPDATE YOU ON A FEW OF THE REGULATORY DEVELOPMENTS  
17 SINCE THE LAST TIME THIS GROUP MET. WE HAVE PUT  
18 FORWARD A REQUEST TO THE OFFICE OF ADMINISTRATIVE  
19 LAW TO FINALIZE WHAT I'LL REFER TO AS THE  
20 GRANDFATHERING RULE. THIS IS THE REGULATION THAT  
21 CREATED A MECHANISM FOR STEM CELL LINES DERIVED  
22 PRIOR TO NOVEMBER 2006. THIS IS THE DATE WHEN THE  
23 REGULATIONS TOOK EFFECT. IT CREATES A MECHANISM FOR  
24 APPLICANTS TO APPLY FOR LINES TO BE DESIGNATED AS  
25 ACCEPTABLE FOR CIRM-FUNDED RESEARCH. THERE ARE

## BARRISTERS' REPORTING SERVICE

1 LINES, WE SAW SOME EXAMPLES, WHERE THEY DON'T  
2 CONFORM TO THE PRECISE DETAILS OF OUR REGULATIONS,  
3 BUT THEY WERE CREATED PRIOR TO THE DATE OF THE  
4 REGULATIONS. SO THIS PROCESS ALLOWS US TO EVALUATE  
5 THEM ON A CASE-BY-CASE BASIS.

6 CURRENTLY THAT REGULATION EXISTS AS AN  
7 INTERIM REGULATION FOR A PERIOD OF 270 DAYS. AND  
8 WHAT GOING FORWARD WITH THE OFFICE OF ADMINISTRATIVE  
9 LAW ALLOWS US TO DO IS TURN THAT REGULATION INTO ONE  
10 THAT WILL MOVE FORWARD INDEFINITELY. SO WE'VE MOVED  
11 FORWARD ON THAT PROCESS. IT IS OPEN FOR PUBLIC  
12 COMMENT, SO WE HAVE COMMENTS COMING IN, AND WE'LL  
13 HAVE TO RESPOND TO THOSE ACCORDINGLY.

14 IN ADDITION, JUST TO REMIND FOLKS, WE HAVE  
15 BEEN IMPLEMENTING OUR COMPLIANCE PROGRAM. WE'VE  
16 DEVELOPED PROTOCOLS FOR EVALUATING COMPLIANCE WITH  
17 THE REGULATIONS AND ADMINISTRATIVE REQUIREMENTS. A  
18 BIG PIECE OF THAT IS GOING OUT AND LOOKING AT THE  
19 INSTITUTIONS, HOW THEY'VE ESTABLISHED OVERSIGHT  
20 COMMITTEES, AND LOOKING TO ENSURE -- WE GO IN AND  
21 LOOK FOR ASSURANCE THAT THEY ARE IMPLEMENTING, THE  
22 SCRO COMMITTEES, OUR REGULATIONS AS DESCRIBED.

23 AS OF DECEMBER 2008, WE'VE VISITED FIVE  
24 SITES WHICH REPRESENT 42 PERCENT OF CIRM FUNDING.  
25 WE'VE, IN GENERAL, FOUND SUBSTANTIAL COMPLIANCE WITH

## BARRISTERS' REPORTING SERVICE

1 THE REGULATIONS. WE HAVE IDENTIFIED SOME AREAS  
2 WHERE WE'VE SEEN ROOM FOR IMPROVEMENT, AND WE'VE  
3 COMMUNICATED THAT INFORMATION TO THE GRANTEES.

4 IN ADDITION, WE'RE USING THIS OPPORTUNITY  
5 TO DEVELOP A SERIES OF GUIDANCE DOCUMENTS AND  
6 TECHNICAL ASSISTANCE FACT SHEETS ON ISSUES; FOR  
7 EXAMPLE, LIKE WHAT THE IDEAL OVERSIGHT SCRO APPROVAL  
8 LETTER WOULD LOOK LIKE IN TERMS OF DOCUMENTING THE  
9 APPROVAL, THE TYPES OF CELL LINES APPROVED, ETC. SO  
10 IT'S REALLY GIVING US AN OPPORTUNITY TO INTERACT  
11 DIRECTLY WITH THE REGULATED COMMUNITY AND IDENTIFY  
12 WAYS IN WHICH WE CAN BE MOST EFFECTIVE IN TERMS OF  
13 REGULATIONS, ASSURANCE, AND DOCUMENTATION.

14 AND OUR GOAL IS TO VISIT ALL SITES WITH  
15 FUNDING GREATER THAN \$5 MILLION BY THE FIRST HALF OF  
16 NEXT YEAR, AND WE'LL BE LOOKING FORWARD TO PROVIDING  
17 YOU WITH A FULL REPORT ONCE WE'VE COMPLETED OUR  
18 FIRST CYCLE.

19 CHAIRMAN LO: I JUST WANT TO ADD, IF I  
20 MAY, A NOTE TO THAT. UCSF WAS ACTUALLY ONE OF THE  
21 SITES THAT GEOFF VISITED. I ACTUALLY THINK THESE  
22 SITE VISITS ARE VERY IMPORTANT. THEY SERVE AN  
23 EDUCATIONAL PURPOSE TO MAKE SURE INSTITUTIONS REALLY  
24 UNDERSTAND WHAT THE CIRM REGULATIONS ARE ABOUT. I  
25 THINK IT ALSO SERVES A VERY IMPORTANT PURPOSE IN

## BARRISTERS' REPORTING SERVICE

1 TERMS OF ACCOUNTABILITY, THAT WHAT HAPPENED AT UCSF,  
2 AND I THINK THIS IS FAIR TO SAY, THAT THERE WERE  
3 DEFICIENCIES IN DOCUMENTATION OF OVERSIGHT THAT  
4 NEEDED TO BE CORRECTED BECAUSE I THINK, SINCE WE ARE  
5 A PUBLIC SOURCE OF FUNDING, IT'S IMPORTANT THAT WE  
6 BE ABLE TO DEMONSTRATE TO THE PUBLIC THAT ALL THE  
7 RESEARCH CARRIED OUT IS IN COMPLIANCE WITH THE  
8 REGULATIONS.

9 I THINK NOT HAVING GOOD DOCUMENTATION OF  
10 COMPLIANCE COULD RAISE PROBLEMS THAT THEN YOU HAVE  
11 TO DIG FURTHER INTO PRIMARY DATA SOURCES OF RECORDS  
12 WHICH IS ALWAYS MUCH MORE COMPLICATED. SO ALTHOUGH  
13 THESE THINGS MAY SEEM TO BE SORT OF BUREAUCRATIC,  
14 THEY'RE IMPORTANT TO DOCUMENT THAT WHAT'S DONE  
15 REALLY IS IN COMPLIANCE. I THINK GEOFF HAS DONE A  
16 VERY GOOD JOB SORT OF POINTING OUT TO INSTITUTIONS  
17 THE IMPORTANCE OF HAVING GOOD SYSTEMS OF NOT JUST  
18 OVERSIGHT, BUT ALSO DOCUMENTATION OF THE PROCESS.

19 DR. CIBELLI: CAN I ASK A QUESTION? THIS  
20 IS JOSE. GEOFF, DID YOU SEE ANY -- YOU SAID THERE  
21 WERE A FEW INSTITUTIONS THAT HAVE SOME ISSUES.  
22 OTHER THAN THE ONE THAT BERNIE JUST MENTIONED,  
23 STANFORD, HAVE YOU SEEN ANY COMMON ONES THAT MAY  
24 ACTUALLY BE SOMETHING THAT WE COULD IMPROVE IN TERMS  
25 OF CLARITY? THEY'RE ALL DIFFERENT FROM ALL THE

## BARRISTERS' REPORTING SERVICE

1 THINGS YOU'VE SEEN?

2 DR. LOMAX: I THINK BERNIE'S COMMENT  
3 REALLY CAPTURED THE FLAVOR OF IT. I THINK IT'S  
4 EXPECTATION WITH REGARD TO DOCUMENTATION. I WAS  
5 ACTUALLY QUITE IMPRESSED, AT LEAST IN THE GRANTS  
6 THAT WE LOOKED AT, WHERE, FOR EXAMPLE, WE WERE  
7 ASKING FOLKS THE COMMON AREA, WHICH IS COMMON TO  
8 ALMOST ALL THE GRANTS THAT INVOLVE HUMAN EMBRYONIC  
9 STEM CELL LINES, IS HOW DO THEY MAKE THE  
10 DETERMINATION THAT THE LINES WERE ACCEPTABLY  
11 DERIVED.

12 AND THERE WERE APPLICATIONS WHERE THE  
13 DOCUMENTATION WENT BACK TO SORT OF E-MAIL EXCHANGES  
14 BETWEEN THE PI AND COMMITTEE CHAIR, COMMITTEE  
15 ADMINISTRATOR, SORT OF SHOWING A BACK AND FORTH  
16 BETWEEN THE PROVIDER OF THE CELL LINES AND THE PI  
17 THAT REALLY CAPTURED THE PROVENANCE INFORMATION.

18 SO FIRST OFF, I SORT OF SAW VERY PROACTIVE  
19 WORK. BERNIE IS RIGHT. WHERE THERE WERE  
20 DEFICIENCIES, I THINK THEY WERE LESS IN TERMS OF,  
21 SAY, SOMETHING IN OUR STANDARDS WHICH SOMEONE CAN OR  
22 CANNOT COMPLY WITH, BUT MORE WHAT'S THE STANDARD OF  
23 EVIDENCE THAT WE REQUIRE TO BE ABLE TO SAY, YES,  
24 YOU'RE IN COMPLIANCE, YOU'RE NOT IN COMPLIANCE. AND  
25 THAT STANDARD REALLY DOES GET DOWN TO SOME OF THE

## BARRISTERS' REPORTING SERVICE

1 DETAILS, I THINK, OF PARTICULARLY THESE APPROVAL  
2 LETTERS THAT COME FROM THE OVERSIGHT COMMITTEE.

3 IF YOU READ THE REGULATIONS CAREFULLY, IT  
4 SAYS THE OVERSIGHT COMMITTEE SHALL ASSURE THAT  
5 SUCH-AND-SUCH AND SUCH-AND-SUCH HAS HAPPENED. AND  
6 THE COMMON THING I'M SEEING IS THOSE LETTERS, THERE  
7 IS ROOM FOR IMPROVEMENT IN THESE LETTERS. THE WORK  
8 IS BEING DONE. YOU CAN SORT OF SEE IT IN THE GRANTS  
9 FILES, YOU CAN SEE IT IN THE EXCHANGES BETWEEN  
10 EITHER THE PRINCIPAL INVESTIGATOR AND THE OVERSIGHT  
11 COMMITTEE OR EVEN THE PRINCIPAL INVESTIGATOR AND,  
12 SAY, THE SUPPLIER OF CELL LINES, THE SUPPLIERS OF  
13 EMBRYOS. IT'S THERE, BUT HOW TO TRANSLATE THAT  
14 WORK, WHICH BASICALLY EXISTS IN A BINDER OR FILE  
15 FOLDER, INTO A PIECE OF DOCUMENTATION THAT'S SORT OF  
16 CONSISTENT ACROSS THE GRANTEES.

17 SO THAT'S, I THINK, REALLY THE FOCUS. I  
18 WOULD SUGGEST AT THIS POINT IT'S A BIT MORE OF AN  
19 ADMINISTRATIVE TASK AT OUR END IN SORT OF SORTING  
20 THAT OUT, BUT THERE ARE VERY PRESSING ISSUES FOR THE  
21 WORKING GROUP.

22 HOWEVER, WITH THAT SAID, I HAVE SUMMARIZED  
23 THE INTERVIEWS THAT ARE PART OF THIS PROTOCOL, AND  
24 THEY'RE ATTACHED TO THE BRIEFING PACKET. AND WITHIN  
25 THAT SET OF INTERVIEW SUMMARIES, THERE MAY BE ISSUES

## BARRISTERS' REPORTING SERVICE

1 IN THERE THAT I'M MISSING, WHICH A SHARPER EYE MAY  
2 THINK ARE ISSUES THAT ARE RIGHT FOR THE WORKING  
3 GROUP TO CONSIDER. SO I WOULD ALSO DIRECT YOU TO  
4 THAT DOCUMENT AND SUGGEST THERE MAY BE ISSUES SORT  
5 OF EMBEDDED IN THERE THAT WE SHOULD THINK ABOUT. SO  
6 THAT HAS BEEN PROVIDED TO YOU ALL. AND AS THIS  
7 PROCESS MOVES FORWARD, THAT DOCUMENT WILL BE UPDATED  
8 AS MORE INTERVIEWS ARE CONDUCTED. SO WE SORT OF  
9 CONTINUE TO SEE THAT AS A MECHANISM FOR IDENTIFYING  
10 SORT OF NEW ISSUES THAT ELEVATE TO THE LEVEL OF  
11 STANDARDS WORKING GROUP OR ICOC.

12 CHAIRMAN LO: OKAY. THANKS, GEOFF. IF  
13 THERE ARE NO OTHER QUESTIONS OR COMMENTS, I'D LIKE  
14 TO MOVE ON. I THINK NOW WE'RE ON AGENDA ITEM NO. 4,  
15 REGULATORY AND POLICY CONSIDERATIONS. FIRST, JUST  
16 AS CONTEXT, AS WE'VE SAID ALL ALONG, THIS IS A FIELD  
17 THAT'S EXCITING, IT'S MOVING FORWARD, AND WE NEED TO  
18 MAKE SURE THE REGULATIONS AND THE ETHICAL  
19 CONSIDERATIONS ARE KEEPING UP WITH THE SCIENCE AND  
20 NATIONAL DEVELOPMENTS.

21 AND THERE ARE A NUMBER OF NATIONAL  
22 DEVELOPMENTS. THE NATIONAL ACADEMY OF SCIENCES  
23 ISSUED UPDATES TO ITS GUIDELINES THIS SPRING. THAT  
24 INCLUDED BRINGING THESE INDUCED PLURIPOTENTIAL CELLS  
25 INTO THE RECOMMENDATIONS THAT THEY HAD MADE.

## BARRISTERS' REPORTING SERVICE

1 THERE'S CERTAINLY AN EXPECTATION THAT THERE MAY BE  
2 CHANGES ON THE FEDERAL LEVEL FROM NIH IN THE NEW  
3 ADMINISTRATION. WE'LL HAVE TO SEE HOW THAT WORKS  
4 OUT.

5 BUT I THINK THERE ARE TWO ISSUES THAT  
6 REALLY ARE THE MEAT OF OUR AGENDA TODAY. 4(A) IS  
7 SOMATIC CELLS AND IPS LINES AND 4(B) IS IVF EMBRYOS  
8 FROM PAID DONORS. I'M GOING TO FOLLOW THE AGENDA  
9 AND HAVE US START WITH 4(A), SOMATIC CELLS. AND I  
10 THINK THE BEST WAY TO DO THIS IS TO TURN TO THE  
11 SLIDES THAT GEOFF SENT BY E-MAIL. THERE ARE A SET  
12 OF EIGHT POWERPOINT SLIDES. I'M SORRY. I FORGET  
13 WHAT THEY WERE ENTITLED JUST SO PEOPLE --

14 DR. LOMAX: I THINK IT SAYS POWERPOINT  
15 VIEW GRAPHS FOR 12/02 MEETING. IT'S THE ONLY  
16 POWERPOINT THAT WAS INCLUDED IN THE SET OF  
17 BACKGROUND MATERIALS YOU RECEIVED.

18 DR. PRIETO: GEOFF, IT BEGINS WITH THE  
19 FIRST SLIDE IS CURRENT CIRM STANDARDS FOR SCRO  
20 OVERSIGHT, PAYMENT?

21 CHAIRMAN LO: ABSOLUTELY. THOSE ARE THE  
22 ONES.

23 DR. LOMAX: NOW THAT I MENTION IT, IT  
24 MIGHT HAVE BEEN IN PDF FORMAT.

25 DR. PRIETO: MINE IS IN A PDF FORMAT.



## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: THANKS FOR THIS CORRECTION.  
2 GEOFF, DO YOU WANT TO WALK US THROUGH THE FIRST TWO  
3 SLIDES. I THINK THEY'RE SORT OF THE FIRST SLIDES  
4 THAT VISUALLY HELP US TO UNDERSTAND WHAT THE ISSUES  
5 ARE FOR SOMATIC CELL DONATION FOR IPS DERIVATION.

6 DR. LOMAX: THESE SLIDES ARE INTENDED TO  
7 GIVE YOU A SENSE, IF YOU WILL, OF THE SCOPING OF OUR  
8 REGULATIONS. SO WHEN WE INITIALLY SAT DOWN AND  
9 DEVELOPED THE STANDARDS FOR OVERSIGHT, PAYMENTS, AND  
10 CONSENT, WE SORT OF DREW A CIRCLE WHERE WE SAY ALL  
11 EMBRYOS, GAMETES, OR SOMATIC CELLS USED IN  
12 CIRM-FUNDED RESEARCH. THAT'S THE UNIVERSE OF  
13 MATERIAL WE CAPTURED, WHICH IS REALLY A BIT BROADER  
14 THAN WHAT THE NATIONAL ACADEMIES DID. THEIR  
15 UNIVERSE FOCUSED LARGELY ON EMBRYOS AND GAMETES WITH  
16 SOME SUBSEQUENT UPDATES THAT DO ADDRESS ISSUES  
17 RELATED TO SOMATIC CELLS.

18 SO WHEN YOU LOOK AT OUR STANDARDS FOR SORT  
19 OF THE OVERSIGHT COMMITTEE FOR PAYMENTS AND FOR  
20 CONSENT, CURRENTLY WE TREAT ALL THOSE MATERIALS AS  
21 EQUAL. AND OUR REGULATIONS ARE FAIRLY AGGRESSIVE IN  
22 TERMS OF CONSENT, PAYMENT, AND OVERSIGHT IN ALL  
23 THOSE AREAS.

24 NOW, LET ME JUST STOP THERE AND JUST ASK.  
25 SO IS THAT POINT -- DO PEOPLE UNDERSTAND THAT POINT?

## BARRISTERS' REPORTING SERVICE

1 DOES THIS SLIDE SORT OF EFFECTIVELY SORT OF CONVEY  
2 THAT?

3 PROFESSOR ROBERTS: I THINK SO.

4 DR. LOMAX: SO LET ME TURN TO THE SECOND  
5 SLIDE, WHICH IS TITLED "PROPOSED MODIFICATION." AND  
6 THIS IS PROPOSED MODIFICATION OF STANDARDS FOR  
7 OVERSIGHT, PAYMENTS, AND CONSENT.

8 SO THIS GIVES YOU SORT OF A CONCEPTUAL  
9 VIEW OF WHAT THIS PACKAGE OF AMENDMENTS WOULD  
10 ACCOMPLISH IF ALL THE RECOMMENDATIONS WERE ADOPTED  
11 IN WHOLE. WHAT WE'D EFFECTIVELY BE DOING IS  
12 APPLYING THE SORT OF MOST AGGRESSIVE STANDARDS TO  
13 EMBRYOS AND GAMETES, AND THAT'S MORE OR LESS  
14 CONSISTENT WITH THE NATIONAL ACADEMIES. BUT FOR THE  
15 SOMATIC CELL PORTION OF THE WORK, WE WOULD BE SORT  
16 OF CARVING OUT, SPECIFICALLY FOR IN VITRO WORK AND  
17 LABORATORY WORK INVOLVING SOMATIC CELLS, THERE WOULD  
18 BE -- RATHER THAN PROVIDING A FULL REVIEW, FOR  
19 EXAMPLE, OF A PROPOSED STUDY, AN ADMINISTRATIVE  
20 NOTIFICATION OF THE OVERSIGHT COMMITTEE PER SE DOING  
21 SOME WORK WHERE THERE MIGHT BE REPROGRAMMING  
22 INVOLVING, LET'S JUST SAY, A BLOOD SAMPLE. THIS IS  
23 THE EXAMPLE THAT ACTUALLY CAME OUT OF THE WORK I  
24 MENTIONED EARLIER.

25 THE CASE EXAMPLE WAS ONE WHERE WE HAVE A

## BARRISTERS' REPORTING SERVICE

1 BANK THAT ROUTINELY COLLECTS BLOOD SAMPLES FROM  
2 PATIENTS WITH HIV. THE PATIENTS DO CONSENT, GIVE A  
3 GENERAL RESEARCH CONSENT FOR THE USE OF THOSE  
4 MATERIALS IN RESEARCH, AND THEN THEY'RE BANKED. SO  
5 THERE'S AN INVESTIGATOR THAT'S INTERESTED IN BEING  
6 ABLE TO ROUTINELY GO BACK AND FORTH TO THAT BANK TO  
7 PULL BLOOD SAMPLES OF DIFFERING IMMUNOLOGICAL  
8 CHARACTERISTICS FOR LABORATORY WORK.

9 SO WHAT THIS WOULD SAY IS FOR THAT TYPE OF  
10 RELATIONSHIP OR THAT TYPE OF STUDY, A NOTIFICATION  
11 IS APPROPRIATE. AND THEN THE OTHER SIDE OF IT ON  
12 THE CONSENT SIDE, YOU KNOW, AGAIN, THERE'S A LITTLE  
13 BIT OF -- THERE'S AN ISSUE ON THE CONSENT SIDE  
14 BECAUSE WE SAY IF YOU'RE DOING RESEARCH THAT'S  
15 DESIGNED OR INTENDED TO CREATE BASICALLY A  
16 PLURIPOTENT STEM CELL LINE, THEN OUR CONSENT  
17 STANDARD COMES INTO EFFECT. AND OUR CONSENT  
18 STANDARD IS VERY DETAILED.

19 IN THE EXAMPLE I'M GIVING --  
20 CHAIRMAN LO: COULD I BREAK IN FOR A  
21 MINUTE AND JUST SORT OF TAKE A STEP BACKWARD AND SAY  
22 WHY DO WE THINK DONATING SOMATIC CELLS FOR RESEARCH  
23 IS DIFFERENT THAN DONATING GAMETES OR EMBRYOS? AND  
24 WHY, THEREFORE, WE MIGHT HAVE A DIFFERENT REGULATORY  
25 SCHEME? I THINK WE HAVE TO GO BACK AND REMEMBER

## BARRISTERS' REPORTING SERVICE

1 THAT RESEARCH WITH GAMETES AND EMBRYOS IS SENSITIVE  
2 FOR A LOT OF REASONS. MANY PEOPLE HAVE PUT A  
3 SPECIAL SIGNIFICANCE ON THOSE CELLS COMPARED TO  
4 BLOOD CELLS, SKIN CELLS THAT ARE TO BE USED FOR IPS.  
5 THE METHOD OF OBTAINING THOSE CELLS IS MEDICALLY  
6 RISKIER.

7 IF YOU HAVE A WOMAN WHO'S UNDERGOING  
8 OOCYTE DONATION, THERE ARE, AS WE HAVE TALKED IN  
9 THIS GROUP BEFORE, THERE ARE MEDICAL RISKS IN THAT  
10 WHICH AREN'T THE CASE WHEN YOU'RE DONATING A BLOOD  
11 SAMPLE OR EVEN DONATING A SKIN BIOPSY. THERE ARE  
12 RISKS, BUT THEY'RE VERY, VERY LOWER AND LESS  
13 FREQUENT COMPARED TO THE RISKS OF OOCYTE DONATION.

14 THERE ALSO ARE CONCERNS ABOUT THE CONSENT  
15 PROCESS ITSELF, GIVEN THAT CONSENT FOR DONATION OF  
16 OOCYTES FOR GAMETES AND EMBRYOS IS TIED IN WITH  
17 REPRODUCTIVE USE WHICH, AGAIN, HAS SPECIAL  
18 SIGNIFICANCE. THERE HAVE BEEN CONCERNS RAISED ABOUT  
19 WHETHER WOMEN ARE REALLY GIVEN THE INFORMATION THEY  
20 NEED.

21 SO ALL THOSE WERE CONSIDERATIONS THAT LED,  
22 NOT JUST US, BUT THE NATIONAL ACADEMIES AND OTHER  
23 STATES AS WELL TO SAY FOR DONATION OF EMBRYOS AND  
24 GAMETES FOR STEM CELL RESEARCH, WE NEED TO BE SURE  
25 THAT THE RISKS ARE ACCEPTABLE AND MINIMIZED AND THAT

## BARRISTERS' REPORTING SERVICE

1 THE CONSENT PROCESS IS RIGOROUS.

2 DR. PRIETO: SOME OF THOSE CONCERNS I  
3 RECOGNIZE, PARTICULARLY THE RISKS, APPLY FOR WOMEN,  
4 BUT NOT FOR MEN WHEN YOU'RE TALKING ABOUT GAMETES.  
5 BUT I THINK ISN'T PART OF THE ETHICAL CONCERN THE  
6 REGENERATIVE OR THE INHERENT  
7 REGENERATIVE/PROCREATIVE POTENTIAL OF THOSE CELLS AS  
8 OPPOSED TO OTHER CELLS AS OPPOSED TO SOMATIC CELLS  
9 AND, OF COURSE, THEN THE SORT OF RELATED ISSUE NOW  
10 THAT SCIENCE IS BLURRING OR MAY BE ERASING THAT  
11 DISTINCTION?

12 CHAIRMAN LO: WELL, LET ME -- I THINK  
13 YOU'RE ABSOLUTELY RIGHT, FRANCISCO, THAT THERE WERE  
14 MANY REASONS WHY PEOPLE SAID LET'S BE PARTICULARLY  
15 CAREFUL ABOUT CONSENT, PAYMENT, AND OVERSIGHT IN THE  
16 CONTEXT OF USING OOCYTES AND EMBRYOS FOR STEM CELL  
17 RESEARCH. AND I THINK WE DID THAT.

18 THE QUESTION NOW IS INCREASINGLY THERE'S  
19 TREMENDOUS SCIENTIFIC INTEREST IN DERIVING THESE  
20 INDUCED PLURIPOTENTIAL CELLS FROM SKIN BIOPSIES OR  
21 IN SOME CASES BLOOD SAMPLES BECAUSE THEY ALLOW THE  
22 INVESTIGATOR TO DERIVE A STEM CELL LINE THAT'S  
23 GENETICALLY IDENTICAL TO THE DONOR. SO THAT MAY  
24 HAVE A STEM CELL LINE EXPRESSING A PARTICULAR  
25 CLINICAL PHENOTYPE, A CONDITION -- GEORGE DALY DID

## BARRISTERS' REPORTING SERVICE

1 THIS AT HARVARD WITH A NUMBER OF LINES WITH THE  
2 DEGENERATIVE DISEASES SO THE SCIENTISTS COULD STUDY  
3 THEM.

4 AND SO I THINK, GIVEN THE SCIENTIFIC  
5 INTEREST, IT'S IMPORTANT TO MAKE SURE THAT WE HAVE  
6 THE RIGHT SET OF GUIDELINES FOR THIS NEW TYPE OF  
7 RESEARCH.

8 THE OTHER FACTOR THAT'S MISSING IS THAT  
9 THERE ARE REGULATIONS ON DONATION OF STORED BLOOD OR  
10 BIOPSY SAMPLES FOR RESEARCH THAT'S COVERED UNDER  
11 EXISTING FEDERAL REGULATION. CONSENT IS REQUIRED,  
12 BUT NOT IN THE LEVEL OF DETAIL THAT WE HAD REQUIRED  
13 FOR OOCYTES. AND ALSO THERE'S A PROVISION IN THE  
14 FEDERAL REGULATIONS WHICH MANY RESEARCHERS TAKE  
15 ADVANTAGE OF, WHICH IS TO USE EXISTING SAMPLES THAT  
16 ARE ANONYMIZED, STRIPPED OF ALL IDENTIFIERS, SO  
17 THEY'RE SAMPLES THAT ARE LEFT OVER FROM CLINICAL  
18 USAGE, A TUBE OF BLOOD THAT'S LEFT OVER, A BIOPSY  
19 SPECIMEN THAT'S NOT NEEDED FOR CLINICAL PURPOSES, OR  
20 A SPECIMEN THAT WAS OBTAINED IN ANOTHER RESEARCH  
21 PROJECT, BUT WASN'T FULLY USED. THOSE EXISTING  
22 SAMPLES CAN BE USED FOR OTHER RESEARCH IF THEY'RE  
23 ANONYMIZED UNDER FEDERAL REGULATIONS.

24 IN FACT, THE FIRST IPS LINES DERIVED WERE  
25 ALL DONE WITH COMMERCIALY AVAILABLE SOMATIC CELLS

## BARRISTERS' REPORTING SERVICE

1 THAT WERE ANONYMIZED, AND THERE WAS NO SPECIFIC  
2 CONSENT GIVEN BY THOSE DONORS FOR DERIVATION OF STEM  
3 CELLS. SO THE IDEA IS THAT IF WE APPLY THE FULL  
4 DETAILED CONSENT THAT WE'RE REQUIRING FOR DONORS OF  
5 OOCYTES TO HAVE TO GIVE SPECIFIC CONSENT FOR  
6 DERIVATION OF A STEM CELL LINE, THAT WOULD REMOVE A  
7 LOT OF EXISTING TISSUE WHICH SCIENTISTS, WHETHER  
8 WITH CIRM FUNDING OR WITHOUT, WOULD FIND VALUE IN  
9 USING.

10 SO THAT I'M JUST SAYING THAT THE CONTEXT  
11 IS THAT WE HAVE VERY STRONG OVERSIGHT AND  
12 REQUIREMENTS FOR BOTH REVIEW, PAYMENT, AND CONSENT  
13 FOR EMBRYOS AND GAMETES. THE QUESTION I THINK WE'RE  
14 DEALING WITH IS DO WE MOVE FOR THE SOMATIC CELLS FOR  
15 IPS TO A LEVEL OF OVERSIGHT AND CONSENT THAT'S MORE  
16 CONSISTENT WITH WHAT'S DONE WITH USING THESE CELLS  
17 FOR OTHER TYPES OF RESEARCH?

18 YOU CAN TAKE THESE CELLS AND PUT OTHER  
19 GENES INTO THEM IN THE LAB WITHOUT GOING THROUGH THE  
20 DETAILED OVERSIGHT THAT IS REQUIRED FOR GAMETES  
21 UNDER OUR REQUIREMENTS. AND SO SCIENTISTS IN THE  
22 LAB ARE SAYING, WELL, WHAT'S SO DIFFERENT ABOUT  
23 THESE TWO OR THREE GENES I'M INSERTING THAT MAKE IT  
24 DIFFERENT FROM OTHER WORK THAT IS GOING ON IN THE  
25 LAB NEXT DOOR WHERE I DON'T NEED TO GET SUCH

## BARRISTERS' REPORTING SERVICE

1 ELABORATE CONSENT?

2 DR. LOMAX: COULD I JUST MAKE ONE  
3 CLARIFICATION THERE, BERNIE, JUST BECAUSE THERE WERE  
4 ACTUALLY TWO ISSUES THERE. I APOLOGIZE FOR BEING SO  
5 DETAIL ORIENTED, BUT THAT'S MY JOB.

6 WE DID IN TERMS OF THE ANONYMOUS LINES, IF  
7 YOU WILL RECALL, LINES THAT HAVE BEEN ANONYMIZED WE  
8 DID ACTUALLY APPROVE FOR USE IF THEY MET THE FEDERAL  
9 STANDARD. THAT WAS IN A MEMO WE DID BACK IN 2007.

10 THIS PARTICULAR EXAMPLE, WHAT'S CAUGHT  
11 UP -- AND THE EXAMPLE GIVEN WITH THE HIV PATIENTS,  
12 THE SAMPLES ARE ACTUALLY NOT ANONYMOUS. THAT'S A  
13 CRITICAL POINT. SO WE ARE DEALING WITH A --  
14 CONCEPTUALLY WE HAVE MOVED THE ANONYMOUS CELL ISSUE.  
15 THIS WOULD BE A CASE WHERE THEY'RE CONSENTED  
16 MATERIALS, BUT THERE MAY BE -- IN THIS CASE THERE'S  
17 MEDICAL HISTORY ATTACHED, WHICH IS VERY IMPORTANT  
18 FOR THE RESEARCH. SO WE ARE DEALING WITH A SLIGHTLY  
19 DIFFERENT EXAMPLE HERE. I JUST DIDN'T WANT THOSE  
20 TWO ISSUES --

21 CHAIRMAN LO: ABSOLUTELY. BUT IT'S  
22 CONSENT FOR GENERAL RESEARCH, NOT CONSENT  
23 SPECIFICALLY TO DERIVE IPS LINES THAT WOULD BE USED  
24 TO CHARACTERIZE THEM IN BASIC LABORATORY RESEARCH.  
25 SO WE'RE SAYING THAT FOR WORK THAT'S INSERTING



## BARRISTERS' REPORTING SERVICE

1 GENES, CHARACTERIZING CELLS, INJECTING THEM IN  
2 ANIMALS TO SHOW THAT THEY FUNCTION PROPERLY AS  
3 PLURIPOTENT CELLS, THOSE ARE ALL THINGS THAT WE  
4 WOULD SUGGEST BE DONE UNDER -- BE PERMITTED UNDER A  
5 GENERAL CONSENT. WE WOULD DRAW THE LINE AT OTHER  
6 TYPES OF MORE SENSITIVE DOWNSTREAM RESEARCH.

7 TED PETERS HAD A COMMENT AND THEN SOMEONE  
8 ELSE ON THE PHONE AS WELL. WHY DON'T WE TAKE TED  
9 FIRST. I'M SORRY. WHO JUST SPOKE? I CAN'T  
10 RECOGNIZE YOUR VOICE.

11 MR. SHEEHY: JEFF SHEEHY. I'M A LITTLE  
12 SCRATCHY TODAY.

13 CHAIRMAN LO: I HOPE YOU'RE FEELING  
14 BETTER, JEFF. LET'S DO TED FIRST AND THEN TURN TO  
15 JEFF.

16 DR. PETERS: WOULD ONE IMPLICATION,  
17 BERNIE, BE IF WE SEPARATE OUT THE SOMATIC CELLS FROM  
18 THE MORE ETHICALLY SENSITIVE EMBRYOS AND GAMETES,  
19 ARE WE INDIRECTLY, THEN, ENCOURAGING IPS AND OTHER  
20 FORMS OF RESEARCH BY PUTTING FEWER HURDLES IN THE  
21 WAY OF THOSE RESEARCHERS? IS THAT PART OF THE  
22 MOTIVE FOR THIS SEGREGATION?

23 CHAIRMAN LO: I'M NOT SURE IT'S PART OF A  
24 MOTIVE, BUT IT MAY WELL HAVE THAT EFFECT. IT'S  
25 ACTUALLY HAVING THAT EFFECT ON SCIENTISTS. ALAN,

## BARRISTERS' REPORTING SERVICE

1 CORRECT ME IF I'M WRONG, BUT SCIENTISTS ARE SAYING,  
2 LOOK, I CAN DO THIS. IT'S A LOT EASIER TO DO IN THE  
3 LABORATORY, AND THESE CELLS HAVE PROPERTIES THAT ARE  
4 VERY VALUABLE TO UNDERSTANDING DISEASE. I THINK THE  
5 IMPETUS ISN'T COMING FROM US. IT'S COMING FROM THE  
6 SCIENTISTS WHO SAY THESE ARE REALLY EXCITING TYPES  
7 OF RESEARCH THAT CAN BE DONE. AND PLEASE DON'T HOLD  
8 IT UP IN WAYS THAT DON'T REALLY PROTECT DONORS OR  
9 PROTECT OTHER VALUES THAT ARE IMPORTANT.

10 PROFESSOR ROBERTS: CAN I JUST ASK A  
11 QUESTION ABOUT THE QUESTION?

12 CHAIRMAN LO: ABSOLUTELY.

13 PROFESSOR ROBERTS: BECAUSE EVEN THOUGH  
14 THE IMPETUS IS COMING FROM THE RESEARCHERS, WE  
15 MIGHT, IN CONSIDERING THIS, THINK, WELL, IF THERE  
16 ARE FEWER HURDLES FOR RESEARCH ON SOMATIC CELLS THAT  
17 MAY HAVE AN IMPACT ON THE DEMAND FOR GAMETES, IS  
18 THAT -- I THOUGHT MAYBE THAT WAS PART OF THE THOUGHT  
19 BEHIND THE QUESTION, AT LEAST IT WAS A THOUGHT I HAD  
20 IN LOOKING.

21 CHAIRMAN LO: I'M GOING TO DEFER TO ALAN  
22 TO SPEAK ON THE SCIENTIFIC ISSUES.

23 DR. TROUNSON: I DIDN'T REALLY UNDERSTAND  
24 THAT, AND I APOLOGIZE FOR THAT. THE IPS CELLS  
25 THEMSELVES DON'T REALLY INVOLVE ANY GAMETES.

## BARRISTERS' REPORTING SERVICE

1 PROFESSOR ROBERTS: RIGHT. SO I'M SAYING  
2 IF THERE WERE MORE OF THOSE -- ARE THESE TWO  
3 COMPLETELY SEPARATE SOURCES FOR CELLS, OR MIGHT  
4 THERE BE SOME RELATIONSHIP BETWEEN THE SUPPLY OF  
5 EACH ONE?

6 DR. TROUNSON: I DON'T THINK SO EXCEPT  
7 WHERE MAYBE THE SCIENTISTS MIGHT BELIEVE THAT IT'S  
8 MORE PRUDENT AND MORE WORTHWHILE TO STUDY IPS CELLS  
9 RATHER THAN EMBRYONIC STEM CELLS OR, FOR EXAMPLE,  
10 PERHAPS CLOSER RELATIONSHIP MIGHT BE RATHER THAN  
11 DOING NUCLEAR TRANSFER, THE SCNT PROCEDURE, TO  
12 DERIVE PATIENT-SPECIFIC EMBRYONIC STEM CELLS. THERE  
13 MAY WELL BE A SHIFT IN THE INTEREST OF SCIENTISTS TO  
14 WORK WITH IPS CELLS RATHER THAN NUCLEAR TRANSFER  
15 PROCEDURE.

16 THAT WOULD HAVE A KNOCK-ON EFFECT OF NOT  
17 REALLY MAKING -- OF BASICALLY SAYING THAT THERE'S  
18 LESS INTEREST IN DERIVING NUCLEAR TRANSFER CELLS  
19 AND, HENCE, OBTAINING OOCYTES FOR THAT PURPOSE.

20 PROFESSOR ROBERTS: THAT WAS MY THOUGHT.

21 DR. TROUNSON: I THINK THAT IS PROBABLY  
22 WHAT IS CURRENTLY GOING ON, ALTHOUGH THERE'S STILL  
23 MANY LABORATORIES THAT ARE COMMITTED TO NUCLEAR  
24 TRANSFER, AND THERE'S MANY SCIENTISTS WHO REMAIN  
25 VERY MUCH SUPPORTIVE OF THAT AREA.

## BARRISTERS' REPORTING SERVICE

1 JOSE CIBELLI, MANY SCIENTISTS OUT THERE  
2 HAVE VERY STRONG INTEREST IN THIS AREA. SO, YOU  
3 KNOW, I THINK WHILE IT'S PROBABLY TRUE FOR THE  
4 VOLUME OF RESEARCH, I THINK THERE ARE MANY  
5 LABORATORIES THAT STILL REMAIN INTERESTED IN THE  
6 SOMATIC CELL NUCLEAR TRANSFER.

7 CHAIRMAN LO: JEFF SHEEHY, I KNOW YOU  
8 WANTED TO MAKE A COMMENT.

9 MR. SHEEHY: I HAD A QUESTION. I THINK  
10 THIS IS PROBABLY A GENERIC QUESTION FOR EVERY ISSUE,  
11 FRANKLY, GOING FORWARD. GIVEN THE RECENT ELECTION,  
12 I FEEL LIKE THAT WE FUNDAMENTALLY HAVE CHANGED IN  
13 TERMS OF PERSPECTIVE IN OUR REGULATIONS, IN OUR  
14 REGULATORY OUTLOOK IN THAT WE'RE NO LONGER KIND OF  
15 OFF ON AN ISLAND CREATING RULES BY OURSELVES WITH  
16 SOME GUIDANCE FROM THE NATIONAL ACADEMIES, BUT WE'RE  
17 REALLY IN A POSITION WITH THE FEDERAL GOVERNMENT  
18 COMING INTO THIS SPACE.

19 HOW DOES THIS CONTEXTUALIZE WITHIN -- I'M  
20 NOT VERY ARTICULATE TODAY BECAUSE I'M SUFFERING FROM  
21 THIS HEAD COLD.

22 I'M TRYING TO GET A SENSE OF THE NATIONAL  
23 CONTEXT. ARE WE MERGING TOWARD A NATIONAL STANDARD  
24 IF WE CHANGE THIS? ARE WE GETTING AHEAD OF A  
25 NATIONAL? ARE WE STRICTER? ARE WE LAXER?

## BARRISTERS' REPORTING SERVICE

1 IT SEEMS TO ME OUR GOAL OUGHT TO BE TO TRY  
2 TO BLEND IN WITH WHAT WILL BE EVENTUALLY A  
3 REGULATORY CONTEXT THAT'S GOING TO BE NATIONAL AND  
4 DIRECTED MORE FROM WASHINGTON. AND I THINK SOME OF  
5 THE ONUS ON US IS GOING TO BE RELIEVED WITHIN THE  
6 NEXT SIX MONTHS TO A YEAR. I MAY BE WRONG IN THAT  
7 ASSESSMENT.

8 MS. LANSING: CAN I ADD TO WHAT JEFF IS  
9 SAYING? THIS IS SHERRY.

10 CHAIRMAN LO: PLEASE.

11 MS. LANSING: I THINK, UNLESS WE'RE  
12 READING THE OBAMA ADMINISTRATION WRONG, THERE'S  
13 GOING TO BE A CHANGE IN POLICY. SO I DON'T WANT US  
14 JUST TO BLEND IN. I WANT US TO SENSE WHAT IT IS  
15 AND, IF NECESSARY, TO LEAD BECAUSE I'M NOT SURE THEY  
16 KNOW WHAT THEY'RE GOING TO BE DOING YET. SO I DON'T  
17 WANT US TO GET TOO FAR AHEAD OF THE CURVE, BUT THE  
18 QUESTION IS DO WE HAVE ANY INDICATION OF WHAT  
19 THEY'RE GOING TO DO, IF THERE'S A PROBLEM WITH WHAT  
20 THEY'RE GOING DO. CAN WE BE EFFECTIVE IN TRYING TO  
21 ADVOCATE AS WELL?

22 CHAIRMAN LO: WELL, LET ME TRY AND ANSWER  
23 THAT. IT'S ALWAYS A DIFFICULT THING TO SORT OF  
24 PREDICT THE FUTURE.

25 MS. LANSING: EXACTLY.

## BARRISTERS' REPORTING SERVICE

1           CHAIRMAN LO:  VERY MANY PEOPLE HAVE HOPES  
2           ON WHAT THE NEW ADMINISTRATION WILL DO, AND IT  
3           REMAINS TO BE SEEN.  TOM DASCHLE WAS JUST APPOINTED  
4           SECRETARY OF HHS.  THE NEW NIH DIRECTOR HAS YET TO  
5           BE APPOINTED.  SO THERE'S QUESTIONS.  I KNOW ALTA  
6           CHARO IS ACTUALLY INVOLVED IN THE TRANSITION TEAM  
7           EFFORTS.

8                   LET ME SAY, THOUGH, OBAMA, DURING THE  
9           CAMPAIGN, PROMISED THAT HE WOULD ALLOW NIH FUNDING  
10          FOR DERIVATION OF NEW STEM CELL LINES FROM FROZEN  
11          OOCYTES THAT WOULD OTHERWISE BE DESTROYED.  SO IT'S  
12          A LOOSENING OF THE BUSH STRICTURE.  NOW, THAT'S JUST  
13          NIH FUNDING.

14                   NOW, WHETHER THE AMOUNT AND THE BUDGET FOR  
15          THAT AND HOW THAT WILL GO THROUGH REMAINS TO BE  
16          SEEN, IF HE DOES IT.  THAT CAN BE DONE BY EXECUTIVE  
17          ORDER.

18                   TO ISSUE REGULATIONS THROUGH HHS IS  
19          ACTUALLY QUITE A LENGTHY PROCESS AND WOULD NOT  
20          HAPPEN SOON.  SO I THINK THAT EVEN IF THEY WANTED TO  
21          DO CHANGES, IT WOULD REQUIRE A MUCH LENGTHIER  
22          PROCESS THAN WE COULD PERHAPS DO ON SOME OF THESE  
23          ISSUES.

24                   SO I THINK THAT IT PROBABLY WOULD BE  
25          IMPORTANT FOR US TO ACT, CERTAINLY TO TRY AND BE

## BARRISTERS' REPORTING SERVICE

1 ABREAST OF WHAT'S LIKELY TO HAPPEN; BUT, AGAIN, ALAN  
2 AND HIS STAFF ARE GOING TO BE REVIEWING GRANTS,  
3 ASKING FOR FUNDING IN THE NEXT CYCLE. WE HAVE TO  
4 MAKE SURE THAT THE REGULATIONS IN PLACE ARE  
5 APPROPRIATE FOR THE TYPES OF RESEARCH THAT THEY'RE  
6 ASKING FOR AND APPLICATIONS THAT THEY'RE RECEIVING.  
7 SO I THINK WE NEED TO BE COORDINATED, BUT I DON'T  
8 THINK WE CAN WAIT UNTIL --

9 MS. LANSING: I GOT IT. THANK YOU,  
10 BERNIE. THAT REALLY CLARIFIES IT.

11 CHAIRMAN LO: DOES THAT HELP, JEFF?

12 MR. SHEEHY: YES, IT DOES.

13 MS. LANSING: IT REALLY DOES. IT  
14 CLARIFIES IT A LOT.

15 MR. SHEEHY: I LIKE THE TERM  
16 "COORDINATED." I THINK IT GIVES ME A GOOD SENSE OF  
17 HOW WE'RE GOING TO PROCEED.

18 CHAIRMAN LO: I THINK WE WOULD CERTAINLY  
19 TAKE IT ON OURSELVES TO SORT OF MAKE CONTACT WITH  
20 THE POINT PERSON. I'M NOT SURE IT WOULD BE IN THE  
21 NEW ADMINISTRATION OR AT NIH BECAUSE NIH WOULD NOT  
22 BE REDOING THE REGULATIONS. THAT WOULD BE SOMEPLACE  
23 ELSE IN HHS. SO THERE'S GOING TO BE -- NOW, WHAT  
24 FDA MAY DO MAY ALSO CHANGE AS WELL.

25 DR. PRIETO: I JUST QUESTION WHETHER

## BARRISTERS' REPORTING SERVICE

1 ANYONE HAS MADE CONTACT WITH ALTA TO TALK TO HER  
2 ABOUT THESE ISSUES.

3 CHAIRMAN LO: WE'VE TRIED. ALTA IS IN  
4 OVER HER, WHATEVER, EARS, THE TOP OF HER HEAD  
5 WORKING ON TRYING TO FILL SLOTS. SO I THINK WE --  
6 YOU KNOW, THEY'RE DEALING WITH CABINET OFFICIALS  
7 NOW. WE'RE TALKING ABOUT PEOPLE SEVERAL LAYERS  
8 BELOW. THIS WILL TAKE SOME TIME TO SORT OUT.

9 DR. TROUNSON: BERNIE, I THINK IN THE  
10 FIRST INSTANCE, I WILL REFER TO THE NATIONAL  
11 ACADEMIES GUIDELINES ALMOST CERTAINLY, YOU KNOW, TO  
12 PROVIDE THEM WITH INSTRUCTION ABOUT HOW THEY WOULD  
13 DEVELOP ANY OTHER FURTHER REGULATION. AND THAT'S  
14 WHAT WE'VE BEEN CONNECTED TO.

15 I THINK WHAT PEOPLE HAVEN'T DONE IS REALLY  
16 THOUGHT TOO FAR INTO THIS IPS CELL AREA. AND I  
17 THINK THE PROPOSAL THAT'S ON THE TABLE IS IMPORTANT.  
18 IT'S ALSO IMPORTANT TO CONSIDER THE CONTEXT OF THE  
19 RESEARCH, THAT WE NEED TO BE CAREFUL HERE BECAUSE IT  
20 IS CERTAINLY POSSIBLE IN THEORY TO BE ABLE TO DERIVE  
21 GAMETES AND POSSIBLY EMBRYOS FROM IPS CELLS.

22 SO IN THAT CONTEXT, I THINK WE NEED TO PUT  
23 A DOT MARK OVER THE RESEARCH CONTEXT AND BRING THAT  
24 BACK INTO OUR MAINFRAME IN ORDER TO COMPLETE THE  
25 CIRCLE.



## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: ABSOLUTELY. I WANT TO JUST  
2 UNDERSCORE THE POINT ALAN JUST MADE ABOUT WE'RE  
3 TALKING ABOUT, IN GEOFF'S NO. 2, THE FIRST STEP IN  
4 OBTAINING SOMATIC CELLS FOR DERIVATION OF IPS LINES  
5 AND DOING BASIC LABORATORY WORK. THERE IS  
6 DOWNSTREAM RESEARCH THAT STARTS TO GET SENSITIVE;  
7 NAMELY, IF THESE ARE TRULY PLURIPOTENT CELLS, THESE  
8 IPS CELLS, IT MAY BE POSSIBLE TO TRANSFORM THEM INTO  
9 GAMETES, OOCYTES AND SPERM, OKAY, AND WITH THOSE  
10 GAMETES TO CREATE AN EMBRYO.

11 NOW, THAT WE'RE NOT SAYING SHOULD BE  
12 ALLOWED UNDER A GENERAL RESEARCH CONCERN. ALAN VERY  
13 APPROPRIATELY POINTED OUT THERE'S A LINE THERE WE'RE  
14 TRYING TO DRAW, BUT WE'RE TALKING ABOUT THE SORT OF  
15 UPSTREAM WHAT I WOULD CALL FUNDAMENTAL BASIC LAB  
16 RESEARCH TO SORT OF REPROGRAM A SOMATIC CELL TO A  
17 PLURIPOTENT CELL AND DEMONSTRATE PLURIPOTENTIALITY  
18 AND SORT OF DRIVE THEM INTO BETA ISLET CELLS, NEURAL  
19 CELLS, WHICH ARE THE FOCUS OF MANY OF THESE DISEASE  
20 TEAMS THAT CIRM IS SETTING UP.

21 I ALSO WANT TO JUST CLARIFY ANOTHER  
22 COMMENT ALAN MADE. NAS, NATIONAL ACADEMY OF  
23 SCIENCES, AGAIN WILL PLAY A ROLE. THEY HAVE AN  
24 ONGOING COMMITTEE, AND THEY PRESUMABLY WILL MAKE  
25 ANOTHER REPORT IN 2009. AGAIN, MUCH AS I LOVE NAS

## BARRISTERS' REPORTING SERVICE

1 AND I'M ON THE COUNCIL ON IOM, THEY HAVE A PEER  
2 REVIEW CONSENSUS PROCESS, SO ANY REPORT THEY MAKE  
3 GOES THROUGH A VERY LONG PROCESS. AND, AGAIN,  
4 THEY'RE NOT AS NIMBLE OR FLEXIBLE AS PERHAPS WE  
5 MIGHT BE.

6 SO I THINK, AGAIN, WE NEED TO BE MINDFUL  
7 OF WHAT ALL THESE PEOPLE ARE DOING, SORT OF BE  
8 COORDINATED AND HOPEFULLY IN HARMONY WITH THEM. BUT  
9 THEY HAVE ACTUALLY LOOKED TO US, I THINK I CAN SAY  
10 THIS IN ALL MODESTY, TO SORT OF SET THE LEAD. IF  
11 YOU LOOK AT, FOR INSTANCE, THE HIGH STANDARDS FOR  
12 CONSENT FOR OOCYTE DONATION FOR DERIVATION OF  
13 EMBRYONIC STEM CELL LINES, THAT WAS REALLY TAKEN  
14 AFTER IDEAS THAT CAME OUT OF CALIFORNIA.

15 ANY OTHER QUESTIONS? I DON'T KNOW IF  
16 THERE'S ANYONE ELSE ON THE PHONE WHO HAD A COMMENT  
17 ABOUT SORT OF THE IPS VERSUS EMBRYONIC STEM CELLS.

18 DR. TAYLOR: I JUST WANTED TO KIND OF  
19 REITERATE A COUPLE OF THE POINTS THAT CAME UP AND TO  
20 AGAIN TRY TO PUT THIS IN PERSPECTIVE. SO I REALLY  
21 APPRECIATE GEOFF'S KIND OF CLARIFYING THE ANONYMIZED  
22 DIFFERENCE HERE BECAUSE, TO BE HONEST, REALLY THE  
23 POWER OF THE IPS SYSTEM IS NOT ANONYMIZED. THE  
24 ADVANTAGE THERE IS TO REALLY TAKE THE  
25 DISEASE-SPECIFIC OR SPECIFIC CHARACTERISTICS OF THE

## BARRISTERS' REPORTING SERVICE

1 INDIVIDUAL THAT THOSE CELLS COME FROM. SO I THINK  
2 THAT WE'VE GOT TO THINK ABOUT THAT IN THAT RESPECT,  
3 THAT, AGAIN, SORT OF KNOWING SOMETHING ABOUT THESE  
4 CASES IS WHAT'S GOING TO MAKE THOSE CELLS  
5 WORTHWHILE.

6 AND THE OTHER PART IS REALLY, AND I'M  
7 ACTUALLY IN FAVOR OF THIS, BUT I DO THINK THAT IN  
8 THE CONTEXT OF WHAT WE'RE SUGGESTING, IF YOU CAN USE  
9 ARCHIVED CELLS THAT WERE COLLECTED ON A GENERAL  
10 CONSENT, AND WE ACTUALLY ARE USING A LOWER LEVEL  
11 ETHICAL STRINGENCY THAN WE DO FOR KIND OF  
12 STRAIGHTFORWARD DNA STUDIES NOW WHERE WE REQUIRE  
13 MUCH MORE CLARITY ABOUT WHAT THE INDIVIDUALS  
14 CONSENTED FOR. SO GIVEN SOME OF THE SENSITIVITIES  
15 ABOUT WHERE THESE CELLS COULD POTENTIALLY GO, I JUST  
16 THINK WE MIGHT WANT TO BE A LITTLE BIT CAREFUL ABOUT  
17 SETTING A STANDARD THAT'S ACTUALLY LOWER THAN THAT  
18 FOR SNP ANALYSIS.

19 CHAIRMAN LO: LET ME SORT OF TRY AND  
20 FOLLOW UP ON THAT TWO WAYS. FIRST, I THINK  
21 ANONYMIZED DOESN'T MEAN YOU CAN'T HAVE VERY RICH  
22 CLINICAL INFORMATION ABOUT THE PHENOTYPIC CLINICAL  
23 INFORMATION ABOUT THE PERSON FROM WHOM THE CELLS  
24 CAME.

25 DR. TAYLOR: GOING FORWARD, I THINK THAT'S

## BARRISTERS' REPORTING SERVICE

1 TRUE. RETROSPECTIVELY I WOULD QUESTION THAT.

2 CHAIRMAN LO: WELL, REMEMBER, THE WAY, FOR  
3 EXAMPLE, CANCER CENTERS WORK AND PATHOLOGY  
4 DEPARTMENTS IS THAT THE UNIVERSITY OR THE PATHOLOGY  
5 LAB HAS IDENTIFIED CELLS AND ACCESS TO RECORDS.  
6 WHAT THEY GIVE TO RESEARCHERS STRIPS OFF THE 19  
7 HIPAA IDENTIFIERS, GIVES YOU THE CLINICAL  
8 INFORMATION AND THE SAMPLE AND A CODE NUMBER, 001,  
9 002. AND GENERALLY THERE'S AN AGREEMENT BETWEEN THE  
10 BANK AND THE RESEARCHER THAT THE RESEARCHER DOESN'T  
11 GET THE CODE.

12 NOW, THERE ARE ISSUES THAT NEED TO GET  
13 WORKED OUT ABOUT IF YOU DISCOVER FOREIGN GENETIC  
14 MUTATIONS, BUT I JUST WANT TO EMPHASIZE YOU CAN HAVE  
15 RICH PHENOTYPICAL CLINICAL MATERIAL ACCOMPANYING A  
16 CELL WITHOUT KNOWING THE IDENTITY OF THE DONOR.

17 SECOND POINT, ROB RAISES A GOOD POINT IN  
18 THAT STANDARDS ARE CHANGING FOR CONSENT IN OTHER  
19 AREAS. AND CERTAINLY FOR GENOMEWIDE ASSOCIATION  
20 STUDIES OR WHOLE GENOME SEQUENCING, NIH, FOR  
21 EXAMPLE, IS VERY CONCERNED NOW ABOUT WHAT KIND OF  
22 CONSENT DO YOU NEED FOR THESE TYPES OF TECHNIQUES.  
23 CURRENTLY IT IS STILL THE REGULATION THAT YOU MAY DO  
24 THIS UNDER GENERAL CONSENT TO RESEARCH.

25 NOW, PEOPLE HAVE SAID A BEST PRACTICE

## BARRISTERS' REPORTING SERVICE

1 WOULD BE TO HAVE HIGHER STANDARDS. AGAIN, I THINK  
2 ONE OF THE THINGS WE'RE SAYING IS THAT IF ALL YOU  
3 ARE GOING TO DO IN THE INITIAL DERIVATION OF IPS  
4 CELLS IS EITHER INSERT THREE GENES OR ACTUALLY NOW  
5 TO TRY AND FIND TRANSCRIPTION FACTORS THAT DON'T  
6 INVOLVE GENETIC MANIPULATIONS OF SOMATIC CELLS,  
7 THAT'S NOT IN THE ARGUMENT AT LEAST OF MANY  
8 RESEARCHERS VERY DIFFERENT FROM WHAT PEOPLE DO NOW  
9 ALL THE TIME IN LABORATORIES FOR PURPOSES OTHER THAN  
10 STEM CELL DERIVATION.

11 AND SO THEY'RE SAYING EVEN THOUGH THEY END  
12 UP WITH A PLURIPOTENT CELL, THE TYPES OF THINGS  
13 YOU'RE DOING DON'T DIFFER IN KIND. SO THAT'S, I  
14 THINK, ROB, YOU SORT OF PUT YOUR FINGER ON AN  
15 IMPORTANT ISSUE.

16 WHAT KIND OF CONSENT WOULD WE BE  
17 COMFORTABLE WITH FOR THOSE INITIAL STAGES OF  
18 OBTAINING THE SAMPLE OF THE SOMATIC CELL SAMPLE AND  
19 DOING THE BASIC FUNDAMENTAL LABORATORY WORK?

20 PROFESSOR ROBERTS: I HAVE SOME QUESTIONS  
21 TO FOLLOW UP ON THAT. JUST FOLLOWING UP ON THAT  
22 ISSUE OF CONSENT, ARE WE GOING TO MAKE A DISTINCTION  
23 BETWEEN THE CELLS THAT ALREADY EXIST IN BLOOD BANKS  
24 OR WHEREVER AND THOSE THAT ARE COLLECTED  
25 PROSPECTIVELY? BECAUSE A LOT OF THIS DEBATE OR THE

## BARRISTERS' REPORTING SERVICE

1 ARGUMENT TO HAVE A DIFFERENT STANDARD FOR SOMATIC  
2 CELLS IS THAT ALL THESE SAMPLES ALREADY EXIST AND  
3 CAN'T BE USED FOR STEM CELL RESEARCH.

4 BUT THEN THERE'S A SEPARATE ISSUE, IT  
5 SEEMS, WITH, OKAY, NOW, PROSPECTIVELY WILL IT BE  
6 TREATED THE SAME WAY AS WHERE THERE ISN'T THIS  
7 PROBLEM OF NOT BEING ABLE TO USE WHAT ALREADY  
8 EXISTS? BECAUSE, OF COURSE, SINCE THE CONSENTS --  
9 THERE WAS JUST GENERAL CONSENT TAKEN FOR THE SAMPLES  
10 THAT ALREADY EXIST. THERE'S NOTHING YOU CAN DO  
11 ABOUT CONSENT, BUT PROSPECTIVELY THERE COULD BE A  
12 DIFFERENT CONSENT STANDARD FOR THESE NONEMBRYONIC  
13 SAMPLES.

14 SO ARE WE JUST TALKING ABOUT EXISTING  
15 SAMPLES OR PROSPECTIVE ONES?

16 CHAIRMAN LO: DOROTHY, THAT'S AN EXCELLENT  
17 POINT AND SUGGESTION. IN FACT, AT UCSF WHAT WE'VE  
18 DONE IS MADE THAT DISTINCTION AND SAID THAT IF YOU  
19 ARE GOING TO COLLECT FRESH MATERIALS STARTING FROM  
20 SEVERAL MONTHS AGO, THAT WE WOULD REQUIRE YOU TO ASK  
21 FOR CONSENT FOR DERIVATION OF SOMATIC -- FOR THE IPS  
22 LINE. SO IF YOU'RE COLLECTING FRESH MATERIALS, I  
23 THINK GENERALLY THE STANDARD IS YOU HAVE TO EXPLAIN  
24 WHAT YOU ARE DOING, HAVE IN MIND TO DO, AND THEN YOU  
25 MAY ALSO ASK FOR PERMISSION TO USE IT FOR OTHER

## BARRISTERS' REPORTING SERVICE

1 TYPES OF RESEARCH WITH LEFT-OVER SPECIMENS. THAT'S  
2 AN IMPORTANT AND VALUABLE DISTINCTION THAT'S BEEN  
3 MADE IN OTHER CONTEXT.

4 PROFESSOR ROBERTS: WELL, I THINK IF THERE  
5 ARE CONCERNS ABOUT THE CONSENT BEING ADEQUATE, I  
6 THINK THAT'S A DISTINCTION THAT MAYBE WE SHOULD MAKE  
7 BECAUSE THAT WOULD OBVIOUSLY ALLOW US TO THINK MORE  
8 CAREFULLY ABOUT CONSENT PROSPECTIVELY.

9 ANOTHER, THIS IS ALSO RELATED, IS WHILE  
10 THERE MAY NOT BE SO MUCH CONCERN ABOUT THIS BASIC  
11 RESEARCH AND JUST USING THE GENERAL CONSENT FOR  
12 THAT, AS SOMEONE JUST POINTED OUT, I CAN'T REMEMBER  
13 IF IT WAS BERNIE OR GEOFF, THERE ARE THESE LATER  
14 POTENTIAL USES THAT I THINK WE AND ALSO THE DONORS  
15 MIGHT BE MORE CONCERNED ABOUT WITH CREATING EMBRYOS.  
16 AND EVEN THOUGH WE COULD SAY THAT THIS SOMATIC CELL  
17 RESEARCH IS QUALITATIVELY DIFFERENT FROM USING  
18 GAMETES, SO WE MAKE A DISTINCTION BETWEEN THE TWO,  
19 IF THERE'S A POTENTIAL TO CREATE EMBRYOS, SOME OF  
20 THE DISTINCTION, I THINK, DISAPPEARS. AND I THINK,  
21 YOU KNOW, IF I WERE A DONOR, I WOULD WANT TO KNOW  
22 ABOUT THOSE POTENTIAL OR PROBLEMATIC USES OF MY  
23 MATERIAL.

24 AND SO, FIRST, I WONDER IF THE POTENTIAL  
25 FOR THAT SHOULD SOMEHOW BE TAKEN INTO ACCOUNT BOTH

## BARRISTERS' REPORTING SERVICE

1 IN CONSENT, BUT ALSO IN THE SCOPE OF THE REVIEW. I  
2 JUST WASN'T CLEAR IN WHAT WOULD TRIGGER THE FULL  
3 REVIEW. IN THE MATERIALS WE GOT, IT SAID THAT  
4 TRANSFER TO HUMANS OR ANIMALS WOULD STILL REQUIRE  
5 FULL REVIEW. BUT ARE THOSE THE ONLY -- WOULD THAT  
6 ALSO COVER CREATION OF EMBRYOS FROM THE IPS  
7 RESEARCH?

8 CHAIRMAN LO: LET ME JUST TRY AND RESPOND  
9 TO DOROTHY, AND THEN TED PETERS WANTS TO COMMENT AS  
10 WELL. DOROTHY, I THINK, HAS RAISED SOME VERY  
11 IMPORTANT POINTS. LET ME TRY AND REFRAME THEM A  
12 BIT.

13 PROFESSOR ROBERTS: YES, PLEASE. I'M SURE  
14 YOU CAN DO IT BETTER THAN I CAN.

15 CHAIRMAN LO: THERE ARE SOME TYPES OF  
16 RESEARCH USING IPS CELLS DERIVED FROM SOMATIC CELLS,  
17 DOWNSTREAM RESEARCH, THAT I THINK IS MORE SENSITIVE  
18 AND ARGUABLY WOULD REQUIRE HIGHER, STRICTER, MORE  
19 ROBUST CONSENT AND STRICTER OVERSIGHT. AND ON THE  
20 THIRD SLIDE -- AND DOROTHY ASKED THE QUESTION, SO IF  
21 WE'RE SAYING THAT THERE'S STEM CELL RESEARCH  
22 INVOLVING OOCYTES AND EMBRYOS, THERE'S IPS RESEARCH,  
23 THEN THERE'S SOME IPS RESEARCH THAT IS A LITTLE MORE  
24 SENSITIVE. A NUMBER OF TYPES OF RESEARCH MIGHT WELL  
25 FALL IN THAT CATEGORY.



## BARRISTERS' REPORTING SERVICE

1 ONE IS DOWNSTREAM RESEARCH FROM  
2 PLURIPOTENT IPS CELLS THAT DERIVES GAMETES AND  
3 PARTICULARLY EMBRYOS. REMEMBER THE SHARP LINE THAT  
4 HAS BEEN DRAWN BY CERTAINLY OPPONENTS OF EMBRYONIC  
5 STEM CELL RESEARCH IS THAT IN THEIR VIEW, MANY OF  
6 THEM, HUMAN LIFE, QUOTE, BEGINS AT CONCEPTION,  
7 UNQUOTE, WHICH IS FERTILIZATION. SO THE EMBRYO IS  
8 GIVEN EVEN MORE MORAL SIGNIFICANCE IN THEIR VIEW  
9 THAN OOCYTES. SO THAT KIND OF RESEARCH,  
10 REPRODUCTIVE RESEARCH.

11 SECOND COULD WELL BE TRANSPLANTATION INTO  
12 ANOTHER HUMAN BEING NOT THE ORIGINAL SOMATIC CELL  
13 DONOR. WE CAN PERHAPS TALK ABOUT THAT.

14 AND THIRD DOROTHY POINTED OUT IS  
15 NONCLINICAL RESEARCH INVOLVING THE TRANSPLANTATION  
16 OF STEM CELLS OR DIRECT STEM CELL DERIVATIVES INTO  
17 ANIMALS, PARTICULARLY YOU'RE DOING NEUROLOGICAL --  
18 NEUROPRECURSOR CELLS, HUMAN PRECURSORS. SO THERE  
19 ARE CERTAIN TYPES OF RESEARCH THAT MIGHT, AS DOROTHY  
20 POINTED OUT, BOTH REQUIRE EXPANDED CONSENT AND  
21 STRICTER OVERSIGHT.

22 AND ON SLIDE 3 ON THE SECOND PAGE OF YOUR  
23 PDF, WE'VE SORT OF SUGGESTED THAT THOSE BE SUBJECT  
24 TO STRICTER OVERSIGHT. SO THAT THE GRAPH YOU SAW ON  
25 SLIDE 2 IS SORT OF THE GENERAL CASE, BUT THEN WE

## BARRISTERS' REPORTING SERVICE

1 HAVE THESE SPECIAL SITUATIONS OF STRICTER OVERSIGHT  
2 AND MORE ROBUST CONSENT.

3 GEOFF, IS THAT A FAIR SUMMARY OF JUST SORT  
4 OF NOT THE DETAILS AGAIN, BUT THE BIG PICTURE OF HOW  
5 WE'RE IN A SENSE SAYING THAT A LOT OF IPS RESEARCH  
6 WITH SOMATIC CELLS DOESN'T REQUIRE THE SAME LEVEL OF  
7 EITHER CONSENT OR OVERSIGHT, BUT SOME TYPES OF WORK  
8 YOU MAY DO WITH THOSE CELLS HAS TO REQUIRE MORE  
9 SPECIFIC CONSENT AND GET FULL SCRO REVIEW OR IRB  
10 REVIEW?

11 DR. LOMAX: THAT'S RIGHT. I THINK IN  
12 TERMS OF WHAT WOULD CHANGE HERE AS ANOTHER WAY OF  
13 LOOKING AT IT, THE ONLY THING WORTH RECOMMENDING A  
14 CHANGE ON WOULD BE THE CONDITIONS IN WHICH THE WHAT  
15 WE'RE CALLING OUR IN VITRO STANDARD, THE CONDITION  
16 IN WHICH MATERIALS COULD BE USED FOR IN VITRO  
17 RESEARCH, WHICH, IF YOU REMEMBER IN THE REGULATIONS,  
18 WE HAVE THESE CATEGORIES OF THINGS, USE OF OOCYTES,  
19 USE OF EMBRYOS, WORK TO DERIVE STEM CELL LINES, IN  
20 VITRO RESEARCH. WE'RE TRYING TO CREATE A CARVE-OUT  
21 TO BE MORE FLEXIBLE ON THE IN VITRO SIDE WITHOUT  
22 IMPACTING EITHER WORK THAT INTENDS TO CREATE AN  
23 EMBRYO, INTENDS TO DERIVE GAMETES, AND THAT'S  
24 ENTIRELY CONSISTENT WITH THE NATIONAL ACADEMY.

25 I WISH WE COULD HAVE ACTUALLY -- WHAT WILL

## BARRISTERS' REPORTING SERVICE

1 HAPPEN IS, REMEMBER THE STAGE OF THIS PROCESS IS WE  
2 THEN HAVE TO PROPOSE FURTHER LANGUAGE WHICH WOULD BE  
3 SUBJECT TO A VERY EXTENSIVE REVIEW. WE HAVE A SORT  
4 OF SECOND STEP HERE WHERE WE COULD SORT OF COMMENT  
5 ON THE ACTUAL LANGUAGE. CLEARLY THAT WAS THE  
6 INTENT.

7 ONE OTHER THING THAT DID COME UP, BECAUSE  
8 THIS CAME OUT IN THE BACKGROUND RESEARCH, AND IT'S  
9 REALLY TRYING TO BE RESPONSIVE TO PROFESSOR ROBERTS'  
10 FIRST POINT, IS THAT WHILE IT'S ON THE CONSENT, THE  
11 PROSPECTIVE CONSENT, WHICH IS THE WAY IT WAS  
12 PRESENTED BY A NUMBER OF INSTITUTIONS, WAS WHILE  
13 WE'D LIKE TO BELIEVE EVERYONE IS MOVING FORWARD WITH  
14 KEEN SENSITIVITY TO THE EXACT DETAILS OF WHAT CIRM  
15 WOULD LIKE TO SEE, THERE ARE A NUMBER OF ESTABLISHED  
16 BANKS FOR ANY NUMBER OF DISEASES, CANCER, HIV, THAT  
17 THESE ARE LONG ESTABLISHED BANKS THAT HAVE BEEN  
18 COLLECTING MATERIALS. AND THEY FEEL THEY HAVE A  
19 SORT OF ROBUST CONSENT PROCESS. THEY HAVE THE  
20 ABILITY TO RECONTACT A NUMBER OF DONORS, BUT IT'S  
21 JUST NOT FEASIBLE TO INCORPORATE EVERY SPECIFIC THAT  
22 WE INCORPORATE INTO OUR CONSENT.

23 BECAUSE I RAISED THAT POINT, WHAT ABOUT  
24 PROSPECTIVELY COULDN'T YOU DO THIS. IT'S JUST THE  
25 IDEA THAT STEM CELL RESEARCH IS SORT OF ONE CATEGORY

## BARRISTERS' REPORTING SERVICE

1 OF RESEARCH AMONG AN ARRAY OF RESEARCH. AND TRYING  
2 TO GET EVERYONE TO ADOPT OUR STANDARD IS, THEY FELT,  
3 NOT PRACTICAL. SO THAT WAS THE COMMENT. I'LL LEAVE  
4 IT TO YOU ALL TO SORT OF JUDGE THE EFFICACY OF THAT,  
5 BUT THAT POINT WAS RAISED WITH THE INSTITUTIONS THAT  
6 I WAS ABLE TO SURVEY.

7 CHAIRMAN LO: LET ME JUST, AGAIN, WE NEVER  
8 GOT TO YOU, TED. LET ME GO TO TED. WHAT I'VE BEEN  
9 DOING IS RESPONDING TO PEOPLE, AND POOR TED HAS BEEN  
10 PATIENTLY WAITING.

11 DR. PETERS: I'D LIKE TO RESPOND TO  
12 DOROTHY AND THE BRIEF REMARK OF FRANCISCO EARLIER  
13 ABOUT SEPARATING SOMATIC CELLS FROM EMBRYOS AND  
14 GAMETES. WHEN BERNIE OPENED THIS DISCUSSION, HE  
15 SAID THAT EMBRYOS AND GAMETES ARE ETHICALLY  
16 SENSITIVE AREAS, BUT FOR DIFFERENT REASONS. AND LET  
17 ME JUST TRY TO TEASE OUT WHAT I THINK THAT THEY  
18 MIGHT BE.

19 IN THE CASE OF THE DESTRUCTION OF HUMAN  
20 EMBRYONIC STEM CELLS, WITH THE DESTRUCTION OF THE  
21 BLASTOCYST TO OBTAIN THEM, YOU'VE GOT THE VATICAN,  
22 YOU'VE THE AMERICAN EVANGELICALS WHO BELIEVE THAT  
23 ONCE THE EGG IS FERTILIZED, THAT YOU'RE COMMITTING  
24 AN ABORTION WHEN YOU DO THAT. THAT'S WHAT MAKES  
25 EMBRYONIC STEM CELL RESEARCH ETHICALLY SENSITIVE.

## BARRISTERS' REPORTING SERVICE

1           WHEN IT COMES TO GAMETE RETRIEVAL, IT'S  
2           THE OOCYTE RETRIEVAL ISSUE. ROMAN CATHOLICS ARE  
3           UNCONCERNED ABOUT THE MORAL STATUS OF THE GAMETES.  
4           THEY ARE ABOUT THE EMBRYOS, BUT NOT ABOUT THE  
5           GAMETES.

6           NOW, WHAT IS ON THE HORIZON WITH IPS AS  
7           WELL AS EXPERIMENTS IN PARTHENOGENESIS AND  
8           CYTOPLASMIC REPROGRAMMING IS THE POSSIBILITY OF  
9           CREATING AN EMBRYO BYPASSING THE GAMETES IN THE  
10          FIRST PLACE. SO THE QUESTION WOULD BE IS THAT  
11          ETHICALLY SENSITIVE? MY ANSWER IS NO.

12          RECENTLY, WHEN THE IPS EXPERIMENTS WERE  
13          ANNOUNCED, RICHARD DORFLINGER, WHO IS A SPOKESPERSON  
14          FOR THE NATIONAL CONFERENCE OF ROMAN CATHOLIC  
15          BISHOPS, SAID HE SAW NO MORAL DIFFICULTIES IN THIS  
16          AT ALL, EVEN WITH THE PROSPECT THAT RESEARCH DOWN  
17          THE LINE MIGHT PRODUCE EMBRYOS IN THIS FASHION.

18          NOW, IT'S MY OWN JUDGMENT THAT FATHER  
19          DORFLINGER UNDERESTIMATES THE THEOLOGICAL AND  
20          ETHICAL SIGNIFICANCE OF WHAT IT IS WE'RE TALKING  
21          ABOUT. BUT THE GOOD NEWS FOR US IS THAT I THINK IT  
22          MAKES REASONABLE THE PROPOSAL THAT GEOFF AND BERNIE  
23          ARE GIVING, THAT WE COULD, AT LEAST FOR THE TIME  
24          BEING IN THE NEAR FUTURE, REMOVE SOMATIC CELL  
25          RESEARCH FROM THE SAME CATEGORY THAT WE HAVE FOR

## BARRISTERS' REPORTING SERVICE

1 EMBRYOS AND GAMETES.

2 DR. TROUNSON: THE ONE THING, BERNIE, THAT  
3 DOESN'T SEEM TO STRIKE ME QUITE RIGHT HERE IS THE  
4 WORDS "GAMETES AND BLASTOCYSTS." NO. 1, I THINK  
5 IT'S MORE ABOUT EMBRYOS, AS WE'VE JUST HEARD. SO  
6 BLASTOCYST IS A STAGE WELL AND TRULY DOWNSTREAM. SO  
7 YOU SHOULD USE THE WORD "EMBRYOS."

8 BUT I ACTUALLY DON'T SEE WHY RESEARCH ON  
9 THE STUDY OF IPS CELLS IN GAMETES WOULD ACTUALLY  
10 PRODUCE ANY GENUINE DIFFICULTY. THE PROBLEM IS  
11 KNOWING WHERE IN THE SPACE YOU'VE GOT A GAMETE  
12 BECAUSE YOU GO DOWN THE GERM CELL, AND THEN YOU'VE  
13 GOT A WHOLE SORT OF SEQUENCE OF EVENTS, AND THEN  
14 SUDDENLY YOU ARE ARRIVING AT SOMETHING THAT'S A  
15 DIFFICULTY.

16 I ESSENTIALLY THINK THE REAL PROBLEM IS  
17 THE PRODUCTION OF AN EMBRYO OR THE TRANSPLANTATION  
18 OF THE GAMETES. SO YOU'VE GOT IT VERY ADEQUATELY  
19 COVERED IF YOU ACCEPT BOTH THE EMBRYO AND THE  
20 TRANSPLANTATION AS REQUIRING YOU TO GET A HIGHER  
21 LEVEL OF DEMAND IN TERMS OF A CONSENT FROM YOUR SCRO  
22 COMMITTEES OR WHATEVER.

23 CHAIRMAN LO: OR FROM THE DONOR. SO THERE  
24 ARE TWO ISSUES HERE. WHAT LEVEL OF REVIEW AND WHAT  
25 LEVEL OF CONSENT YOU WANT FROM THE DONOR. OKAY.

## BARRISTERS' REPORTING SERVICE

1 I'M HEARING A FAIR AMOUNT OF AGREEMENT  
2 THAT -- ACTUALLY I DON'T THINK THIS IS SPECIFIC TO  
3 IPS CELLS, BUT IF YOU'RE DOING -- I GUESS LET ME  
4 JUST SAY. IF YOU HAVE AN IPS CELL AND YOU'RE DOING  
5 RESEARCH TO CREATE AN EMBRYO FROM GAMETES DERIVED  
6 FROM IPS CELL, THAT THAT REQUIRES STRICTER STANDARDS  
7 OF BOTH CONSENT AND OVERSIGHT. AND I THINK HAVING  
8 HEARD OBJECTION TO THE IDEA THAT IF YOU'RE GOING TO  
9 DO ALLOGENEIC TRANSPLANTATION INTO ANOTHER HUMAN  
10 BEING, THAT ALSO SHOULD REQUIRE HIGHER STANDARDS.

11 DR. TROUNSON: OR ANIMALS.

12 CHAIRMAN LO: OR ANIMALS. OKAY. SO THAT  
13 WHAT WE'RE SAYING -- I THINK WE MAY NEED TO HAVE  
14 SOME DISCUSSION AROUND GAMETES, ALTHOUGH THE LAST  
15 COUPLE PEOPLE SAID THAT IF YOU'RE GOING TO DRAW A  
16 LINE, IT SHOULD BE AT EMBRYOS RATHER THAN AT  
17 GAMETES. BUT THEN ABSENT THOSE SORT OF CASES, IF  
18 YOU ARE JUST DERIVING THE CELLS OR CHARACTERIZING  
19 ITS PROPERTIES AND IDENTIFYING MARKERS AND THINGS  
20 LIKE THAT, THAT THOSE WOULD ONLY REQUIRE NOTIFYING  
21 THE SCRO AND HAVING CONSENT FROM THE ORIGINAL  
22 SOMATIC CELL DONOR FOR JUST GENERAL RESEARCH.

23 THAT BEING SAID, I WOULD SORT OF -- I  
24 WOULD PERSONALLY, BUT I DON'T KNOW HOW THE REST OF  
25 YOU FEEL, SUPPORT DOROTHY'S ARGUMENT. IF YOU'RE

## BARRISTERS' REPORTING SERVICE

1 GOING TO GET FRESH SOMATIC CELLS TO DERIVE NEW IPS  
2 LINES, YOU OUGHT TO GO THROUGH A FAIRLY THOROUGH  
3 CONSENT PROCESS RATHER THAN JUST GETTING THE MINIMAL  
4 PROCESS.

5 AND THE ARGUMENT I WOULD MAKE IS BECAUSE  
6 YOU DON'T KNOW WHAT PEOPLE MIGHT WANT TO DO WITH  
7 THOSE LINES DOWNSTREAM. THAT WHEN YOU JUST GET THE  
8 SOMATIC CELLS, YOU DON'T KNOW IF YOU ARE GOING TO BE  
9 SUCCESSFUL DERIVING AN IPS LINE. IF YOU DO DERIVE  
10 THE LINE, MY IMPRESSION IS THAT YOU DON'T KNOW  
11 WHETHER IT'S GOING TO BE A LINE THAT'S EASY TO GROW,  
12 THAT DOESN'T MUTATE OR DIE, OR YOU DON'T KNOW  
13 WHETHER PEOPLE ARE ACTUALLY GOING TO BE ABLE DERIVE  
14 IT INTO MORE A SPECIALIZED LINE. BUT IF IT HAS  
15 THOSE PROPERTIES, OTHER SCIENTISTS ARE GOING TO WANT  
16 TO DO RESEARCH YOU WEREN'T PLANNING TO DO  
17 PERSONALLY.

18 IT STRIKES ME IT WOULD BE A SHAME, BECAUSE  
19 YOU DIDN'T SORT OF DO A PRETTY THOROUGH CONSENT  
20 PROCESS UP FRONT, THAT LATER RESEARCHERS WOULD BE  
21 ENABLE TO USE THOSE LINES BECAUSE YOU DID NOT ASK  
22 ABOUT THESE. I AT LEAST WANT TO JUST THROW THAT OUT  
23 AS A SUGGESTION.

24 DR. TROUNSON: AGAIN, IF YOU USE THAT  
25 ARGUMENT, BERNIE, WHY WOULDN'T IT BE IMPORTANT



## BARRISTERS' REPORTING SERVICE

1 PERHAPS TO BE ABLE TO GO BACK TO THE DONOR? YOU  
2 KNOW, IF IT WAS A PROPENSITY FOR A DISEASE THAT  
3 DIDN'T SHOW UP TILL LATER IN LIFE, IT MIGHT BE QUITE  
4 IMPORTANT TO BE ABLE TO DO THAT. HENCE, THE NEED TO  
5 BE ABLE TO HAVE THAT AS SOME SORT OF CONSENT MIGHT  
6 ALSO BE IMPORTANT.

7 CHAIRMAN LO: ABSOLUTELY. AGAIN, THAT'S  
8 WHAT WE'VE DONE AT UCSF. WE'VE SAID WHAT WE'D LIKE  
9 TO SEE IDEALLY IS AN EXPLANATION THAT ALL THESE  
10 MIGHT BE DONE. DO YOU AGREE TO SOME OF THESE MORE  
11 SENSITIVE, AND THEN MAY WE RECONTACT YOU EITHER IF  
12 NEW INFORMATION COMES UP IN YOUR HISTORY THAT WE  
13 WANT TO KNOW ABOUT. THERE'S ALWAYS THE POTENTIAL WE  
14 MAY FIND SOMETHING THAT MAY BE OF CLINICAL  
15 IMPORTANCE TO YOU. BUT ALSO, THAT IF SOMEONE  
16 PROPOSES RESEARCH THAT WE HADN'T THOUGHT OF, BUT  
17 MIGHT BE SENSITIVE, WE WOULDN'T WANT TO DO THAT  
18 WITHOUT GETTING BACK IN TOUCH.

19 LET ME SAY ONE OTHER THING. THE OTHER  
20 REASON THAT THIS IS A DIFFERENT APPROACH THAN WHAT'S  
21 BEEN LEGISLATIVELY ENACTED IN CALIFORNIA IS THAT  
22 THERE ARE CALIFORNIA LAWS SPECIFYING, AND ACTUALLY  
23 SOME ARE A RECOMMENDATION, SPECIFYING WHAT MUST BE  
24 SAID TO A DONOR. AND I THINK ONE OF THE PROBLEMS  
25 WITH ACTUALLY SPECIFYING YOU MUST SAY XYZ AND ABC IS

## BARRISTERS' REPORTING SERVICE

1 THAT THAT CHANGES AS THE NATURE OF THE SCIENCE  
2 CHANGES AND WE UNDERSTAND MORE WHAT PEOPLE ARE  
3 CONCERNED ABOUT.

4 SO, AGAIN, I WANT TO REMIND YOU THAT WE  
5 HAVE TRIED NOT TO BE PRESCRIPTIVE IN OUR  
6 REGULATIONS, BUT TO SAY THESE ARE THE GOALS WE WANT  
7 TO ACCOMPLISH AND LEAVE FLEXIBILITY OF SORT OF HOW  
8 YOU ACTUALLY FULFILL THOSE GOALS. AND TO THE EXTENT  
9 THAT, I THINK, THAT'S BEEN IMPORTANT IN A RAPIDLY  
10 MOVING FIELD, I WOULD URGE US TO SORT OF THINK ABOUT  
11 USING SORT OF GOAL-ORIENTED REGULATIONS RATHER THAN  
12 VERY PRESCRIPTIVE REGULATIONS.

13 I CUT SOMEONE OFF.

14 DR. PRIETO: JUST A QUESTION ABOUT THE  
15 ISSUE OF RECONTACT. I UNDERSTAND SITUATIONS WHERE  
16 THAT MIGHT BE DESIRABLE, BUT WHAT ARE THE  
17 IMPLICATIONS IF THE RECONTACT TURNS OUT TO BE  
18 IMPOSSIBLE?

19 CHAIRMAN LO: RIGHT. I THINK --

20 DR. PRIETO: YOU KNOW, THE PERSON IS  
21 UNAVAILABLE.

22 CHAIRMAN LO: RIGHT. OR DECIDES NOT TO  
23 WANT TO TALK TO YOU. THEY CHANGED THEIR MIND.  
24 ABSOLUTELY.

25 DR. PRIETO: OR HAS PASSED AWAY. MAYBE

## BARRISTERS' REPORTING SERVICE

1 THAT SORT OF REMOVES SOME ISSUES, BUT CERTAINLY THE  
2 OTHERS.

3 CHAIRMAN LO: THIS IS -- NO MATTER WHICH  
4 OPTION WE TAKE, THERE ARE ALWAYS COMPLICATIONS WHERE  
5 THINGS DON'T QUITE WORK OUT THE WAY YOU WOULD HOPE.  
6 AND THEN THAT'S, I THINK, SOMETHING THAT THE SCRO,  
7 THE IRB WILL HAVE TO SORT OF MAKE A DECISION ON  
8 WITHIN A FRAMEWORK OF WHATEVER REGULATIONS AND  
9 GUIDELINES ARE OUT THERE.

10 DR. TAYLOR: ACTUALLY I THINK THE POINTS  
11 ARE REALLY IMPORTANT NOW. I'D JUST LIKE TO  
12 EMPHASIZE THAT THEY'RE NOT ONLY THEORETICAL. AT OUR  
13 LAST MEETING, I THOUGHT WHAT WAS A RELATIVELY HEATED  
14 DISCUSSION WAS OVER THE FACT THAT A STEM CELL LINE  
15 THAT MIGHT BE AVAILABLE FOR CLINICAL TRIALS REALLY  
16 HAD BEEN OBTAINED UNDER SORT OF IN A SITUATION WHERE  
17 WE REALLY DIDN'T HAVE VERY MUCH CLINICAL INFORMATION  
18 AT ALL ABOUT WHERE CELLS CAME FROM.

19 SO I THINK WE REALLY WANT TO -- I  
20 CERTAINLY DON'T WANT TO STIFLE THE SCIENCE GOING  
21 FORWARD, BUT I DON'T WANT TO INHIBIT OUR ABILITY TO  
22 HAVE REALLY USEFUL THINGS THAT WE WOULD GET IF WE  
23 DID IT RIGHT KIND OF THE FIRST TIME.

24 I THINK IT IS IMPORTANT TO REALLY THINK  
25 THROUGH THIS TO MAKE SURE THAT THE CONSENT IS IN

## BARRISTERS' REPORTING SERVICE

1 PLACE SO THAT WE CAN GO BACK AND GET THE INFORMATION  
2 IF THE CELLS REALLY TURN OUT TO BE VALUABLE. WHEN  
3 YOU WERE TALKING ABOUT THE POLITICS AS WELL, AND I  
4 GUESS KIND OF PARAPHRASING YOGI BERRA, PREDICTION IS  
5 DIFFICULT PARTICULARLY ABOUT THE FUTURE.

6 CHAIRMAN LO: YOGI IS QUITE A GUY. YES.  
7 AGAIN, I THINK THE VERY HELPFUL DISTINCTION, I THINK  
8 IT WAS DOROTHY THAT POINTED OUT TO US, THAT WE NEED  
9 TO THINK DIFFERENTLY ABOUT EXISTING MATERIALS WHERE  
10 WE CAN'T GO BACK AND CONTACT VERSUS FRESH MATERIALS  
11 WE MAY WANT TO GATHER PROSPECTIVELY IN THE FUTURE  
12 WHERE THERE WILL BE SOME INTERACTION WITH THE DONOR  
13 OF THE CELLS AROUND THE TIME OF DONATION.

14 DR. LOMAX: CAN I ASK YOU A QUESTION ABOUT  
15 THAT, BERNIE, AND THIS IS ALSO DIRECTED TOWARDS  
16 PROFESSOR ROBERTS. ONE WAY TO THINK ABOUT THAT  
17 SCENARIO, SO I'M TRYING TO BE SENSITIVE TO THE  
18 PROBLEM WE'RE TRYING TO FIX, AND IF THE POLICY  
19 DOESN'T ADDRESS THE PROBLEM, THEN WE DON'T NEED A  
20 NEW POLICY.

21 WOULD IT BE REASONABLE -- IT SEEMED THAT  
22 THE CRITICAL STEP IN THE SCENARIO YOU DESCRIBE WAS  
23 THE INTENT TO SORT OF REDISTRIBUTE OR OTHERWISE  
24 CREATE A CELL LINE THAT WOULD BECOME READILY  
25 AVAILABLE TO RESEARCHERS. BECAUSE THE PROBLEM THAT

## BARRISTERS' REPORTING SERVICE

1 WAS IDENTIFIED WAS THE INABILITY TO TAKE BANKED  
2 MATERIALS AND DO SORT OF RAPID SCREENING, SORT  
3 THROUGH, SAY, A SELECTION OF CELLS FOR THE PURPOSE  
4 OF THEN MOVING FORWARD AND MOVING IN A DIRECTION.  
5 AND IF THE CONSENT HAS TO BE DONE ALL IN ADVANCE,  
6 THEN YOU STILL DON'T HAVE THAT OPTION OF KIND OF  
7 THAT RAPID SCREENING.

8 BUT SAY THE SCENARIO IS AN INVESTIGATOR  
9 PERFORMS THAT RAPID SCREENING WITH MATERIALS THAT  
10 ARE IDENTIFIABLE, THERE IS AN OPPORTUNITY TO GO BACK  
11 TO THE DONOR. IF THE NEXT STEP IS THAT THERE'S A  
12 PARTICULAR CELL LINE THAT HAS SOME EXTRAORDINARY  
13 CLINICAL OR SCIENTIFIC POTENTIAL THAT ALSO THEY'D  
14 WANT TO DISTRIBUTE AS A DERIVED LINE, THEN THEY'VE  
15 SORT OF GONE THROUGH THE STAGE OF IDENTIFYING THE  
16 OPTIMAL MATERIAL, AND THEN THERE'S A REAL -- IT'S  
17 NOT THAT DIFFICULT TO THEN RECONTACT AND RECONSENT.

18 SO THE POINT I'M TRYING TO MAKE IS COULD  
19 YOU DO THE BASIC WORK TO DO IDENTIFICATION, THE  
20 BASIC RESEARCH WITH A GENERAL CONSENT? AND THEN IF  
21 YOU BUMPED UP TO THE LEVEL THAT WOULD TAKE IT TO  
22 WHERE I THINK YOU WERE INDICATING WHERE IT WOULD BE  
23 PUT OUT THERE AND POTENTIALLY AVAILABLE BROADLY,  
24 THAT THAT'S WHEN THE HIGHER LEVEL OF CONSENT WOULD  
25 BE REQUIRED.

## BARRISTERS' REPORTING SERVICE

1 SO THE STANDARD WOULD READ SOMETHING TO  
2 THE EFFECT THAT LINES INTENDED FOR SUBSEQUENT  
3 BANKING AND/OR DISTRIBUTION, ETC., SHALL. YOU KNOW,  
4 SO, AGAIN, WE'RE STILL PROVIDING THAT OPPORTUNITY  
5 FOR THE RAPID SCREENING, THE GOING TO THE BANKS AND  
6 DO THE VERY BASIC RESEARCH. IS THAT CONSISTENT WITH  
7 YOUR COMMENTS?

8 CHAIRMAN LO: LET ME SORT OF TRY AND DRAW  
9 A DISTINCTION BETWEEN SCREENING OF EXISTING SOMATIC  
10 CELLS IN SOMEBODY'S PATHOLOGY BANK OR CANCER CENTER  
11 BANK VERSUS SCREENING OF EXISTING IPS CELLS. SO,  
12 AGAIN, I THINK WHAT WE'RE SAYING IS THERE ARE  
13 DIFFERENT TYPES OF IPS RESEARCH. AND SOME OF THE  
14 UP-FRONT BASIC RESEARCH JUST TO DERIVE,  
15 CHARACTERIZE, AND PROVE ITS PLURIPOTENT, THAT TO US  
16 DOESN'T SEEM TO IMPLICATE THE SAME KIND OF  
17 HEIGHTENED ETHICAL SENSITIVITY AND CONCERN.

18 BUT THEN ONCE YOU HAVE DERIVED THAT LINE,  
19 AND YOU SAY, WOW, THIS IS A PRETTY GOOD LINE, LET'S  
20 SORT OF SHARE WITH OTHER RESEARCHERS, LET'S DO OTHER  
21 THINGS, THEN THERE ARE CERTAIN THINGS THAT ARE  
22 DOWNSTREAM RESEARCH THAT WOULD SAY, BOY, IF YOU ARE  
23 GOING TO DO THAT, WE'RE NOT COMFORTABLE WITH SORT OF  
24 JUST A GENERAL CONSENT. WE WOULD WANT YOU TO HAVE  
25 GOTTEN MORE SPECIFIC.

## BARRISTERS' REPORTING SERVICE

1 ALAN HAS PROPOSED THAT, WELL, YOU JUST GO  
2 BACK TO PEOPLE AND SAY, "WELL, WE'VE DERIVED A LINE.  
3 THANK YOU VERY MUCH. NOW THERE ARE OTHER THINGS  
4 WE'D LIKE TO DO." THAT'S ONE MODEL.

5 THE OTHER MODEL IS TO TRY AND DO MORE OF  
6 THAT CONSENT UP FRONT. BUT I THINK WHAT WE'RE  
7 SAYING IS YOU MAY END UP IN A SITUATION WHERE YOU  
8 GET THE MATERIALS, THE SOMATIC CELLS, UNDER A  
9 GENERAL CONSENT, DERIVE A LINE, IT'S GANGBUSTERS,  
10 IT'S TERRIFIC, YOU NOW WANT TO DO LOTS OF OTHER  
11 THINGS. YOU TRY AND RECONTACT THE PERSON. I THINK  
12 IT WAS FRANCISCO. YOU CAN'T FIND THEM. THEY'VE  
13 MOVED AWAY. THEN YOU MAY BE PRECLUDED FROM USING  
14 THOSE LINES WHICH MAY HAVE VERY DESIRABLE SCIENTIFIC  
15 PROPERTIES FOR RESEARCH.

16 THAT'S THE DILEMMA WE FOUND OURSELVES IN  
17 AT UCSF. WE SAID LET'S TRY AND AVOID THAT BECAUSE  
18 THAT WOULD BE AWFUL, TO HAVE A LINE THAT'S REALLY  
19 VALUABLE AND SAY, WELL, IT'S REALLY GOT GREAT  
20 SCIENTIFIC PROPERTIES, BUT WE JUST DIDN'T ASK THAT,  
21 AND NOW WE CAN'T CONTACT THEM. SO WE SAID WHY DON'T  
22 WE TRY AND DO MORE OF THAT. THERE'S ALWAYS A  
23 TENSION BETWEEN TRYING TO ANTICIPATE WHAT YOU MIGHT  
24 WANT TO DO VERSUS HAVING SOMETHING COME UP THAT YOU  
25 DIDN'T ANTICIPATE.

## BARRISTERS' REPORTING SERVICE

1 BUT IT'S NOT UNWORKABLE TO SPEND EXTRA  
2 WHATEVER IT IS AMOUNT OF TIME UP FRONT WITH YOUR  
3 DONOR AND SAY IF THIS WERE TO WORK, THESE ARE SOME  
4 THINGS WE MIGHT WANT TO THINK ABOUT. WOULD YOU  
5 AGREE TO BE RECONTACTED? AND WOULD YOU AGREE TO  
6 THESE OTHER TYPES OF RESEARCH? SO, AGAIN, I THINK  
7 WE HAVE TO DIFFERENTIATE BETWEEN REGULATION AND  
8 SUGGESTIONS. WE MAY SAY AS A REGULATION, YOU MAY DO  
9 THIS TYPE OF RESEARCH WITH THIS TYPE OF CONSENT AND  
10 OVERSIGHT, BUT YOU MAY NOT DO THIS TYPE OF RESEARCH.  
11 THEN IT'S UP TO THE RESEARCHER TO MAKE THAT CALL  
12 WHETHER THEY WANT TO SPEND MORE TIME UP FRONT WITH  
13 THE CONSENT OR GO BACK LATER.

14 I DON'T THINK WE SHOULD BE PRESCRIBING YOU  
15 MUST DO ALL THESE THINGS FOR SOMETHING THAT MAY OR  
16 MAY NOT HAPPEN IN THE FUTURE. SO I THINK THE WAY  
17 IT'S LAID OUT HERE IS IF YOU'RE GOING TO DO CERTAIN  
18 TYPES OF RESEARCH WITH SOMATIC CELLS AND IPS CELLS,  
19 THESE ARE THE TYPES OF CONSENT YOU MUST HAVE AND  
20 THIS IS THE TYPE OF OVERSIGHT. WE MAY SUGGEST AS  
21 GUIDANCE OR NOT EVEN GUIDANCE, SORT OF A  
22 RECOMMENDATION HOW TO DO WHAT THEY MIGHT WANT TO DO,  
23 BUT THAT WILL EVOLVE. DIFFERENT RESEARCHERS WILL  
24 WORK OUT IN DIFFERENT WAYS, AND THEY MAY COME UP  
25 WITH BETTER IDEAS THAN WE CAN DO RIGHT NOW.



## BARRISTERS' REPORTING SERVICE

1 ANY OTHER COMMENTS FROM THOSE OF YOU ON  
2 THE PHONE? IT'S A PUBLIC MEETING, AND SO THERE'S  
3 SOME PEOPLE, I THINK, WHO WANT TO COMMENT, AND I'M  
4 GOING TO SORT OF OPEN THAT UP. ACTUALLY, MARCY, I'M  
5 GOING TO ASK YOU TO COME TO THE FRONT AND SPEAK INTO  
6 THE WHATEVER, THE PHONE, SO PEOPLE CAN HEAR YOU.  
7 FOR THE RECORD IDENTIFY YOURSELF, PLEASE.

8 DR. DARNOVSKY: THIS IS MARCY DARNOVSKY  
9 FROM THE CENTER FOR GENETICS AND SOCIETY. SO IT  
10 SEEMED LIKE THE DISCUSSION APPROACHED CONSIDERING  
11 SOME OF THE MUCH MORE ETHICALLY SENSITIVE DOWNSTREAM  
12 USES. AND I DON'T KNOW IF THIS IS THE APPROPRIATE  
13 VENUE FOR RAISING THEM. TED PETERS DID. AND I  
14 THOUGHT SINCE YOU DID, IT WOULD BE A GOOD THING TO  
15 PUT ON THE RECORD THAT THE CREATION OF GAMETES,  
16 EMBRYOS, BLASTOCYSTS OUT OF IPS CELLS, I THINK,  
17 WOULD RAISE VERY, VERY DEEP CONCERNS FOR A LOT OF  
18 PEOPLE, INCLUDING THOSE WHO SUPPORT EMBRYONIC STEM  
19 CELL RESEARCH, SUPPORT EMBRYO DESTRUCTIVE RESEARCH,  
20 AND SO ON.

21 SO YOU'VE HEARD FROM ME A LOT WHEN I'VE  
22 RAISED CONCERNS ABOUT THE ACQUISITION OF EGGS FROM  
23 WOMEN. AND THIS IS A DIFFERENT CONCERN ABOUT THE  
24 USE OF POTENTIALLY -- THE USE OF GAMETES OR  
25 BLASTOCYSTS, EMBRYOS FOR REPRODUCTIVE PURPOSES. AND

## BARRISTERS' REPORTING SERVICE

1 THAT SEEMS TO ME A VERY, VERY BRIGHT LINE THAT, AS  
2 FAR AS I KNOW, NOBODY IS CONTEMPLATING -- NO  
3 SCIENTISTS ARE CONTEMPLATING DOING THAT RIGHT NOW,  
4 AND I HOPE THAT REMAINS THE CASE.

5 BUT, YOU KNOW, IS IT TOO SOON TO BE  
6 RAISING THAT? I WOULD NOT LIKE THERE TO BE  
7 SOMETHING THAT, FOR EXAMPLE, THAT SOME PROVISION IN  
8 A CONSENT FORM, WE MAY USE CELL LINES DERIVED FROM  
9 YOUR TISSUE TO DO XYZ AND CREATE GENETICALLY  
10 MODIFIED BABIES. I WOULD NOT LIKE TO SEE THAT IN  
11 THE CONSENT FORM BECAUSE THAT BEGINS TO INTRODUCE  
12 THE POSSIBILITY THAT SOMEBODY THINKS THAT'S OKAY.  
13 AND THERE WOULD BE A WHOLE LOT OF PEOPLE WHO DON'T.

14 CHAIRMAN LO: LET ME, AGAIN, I THINK THIS  
15 IS HELPFUL. LET ME TRY AND RAISE TWO QUESTIONS.  
16 ONE IS THERE'S A CONTINUUM OF WORK, RIGHT, AND LET  
17 ME JUST SAY, FIRST, THERE IS GOING TO BE INTEREST IN  
18 USING IPS, I THINK, USING IPS CELLS FOR REPRODUCTIVE  
19 PURPOSES. IF YOU THINK ABOUT PEOPLE LIVING WITH  
20 CANCER WHO, BECAUSE OF THEIR CHEMO OR RADIATION  
21 THERAPY NO LONGER CAN PRODUCE GAMETES ON THEIR OWN,  
22 THEY MAY WANT VERY MUCH, AFTER THEY'VE SORT OF GONE  
23 THROUGH THEIR TREATMENT AND BEEN DISEASE FREE FOR  
24 FIVE, TEN YEARS AND CONSIDERED CURED OF THEIR  
25 DISEASE, TO SAY, GEE, I WOULD LIKE TO HAVE CHILDREN

## BARRISTERS' REPORTING SERVICE

1 THAT ARE GENETICALLY RELATED TO ME.

2 RIGHT NOW THE WAY THAT'S HANDLED IS THAT  
3 PEOPLE ARE ASKED TO -- MEN ARE ASKED TO BANK SPERM;  
4 BUT FOR WOMEN, BECAUSE OOCYTE RETRIEVAL AND FREEZING  
5 IS NOT AS SIMPLE OR EFFECTIVE, IT'S REALLY NOT AN  
6 OPTION. WHEREAS, WHAT YOU SAID ALLOWS AN OPTION,  
7 YOU KNOW, THIS IS WAY DOWNSTREAM WHERE WE TAKE A  
8 BIOPSY OF YOUR SKIN, GIVE IT TO SOMEONE'S  
9 LABORATORY, AND THEY TURN IT INTO AN IPS LINE, AND  
10 THEN DERIVE IT INTO AN OOCYTE. AND THEN IN IVF WE  
11 MIGHT -- I THINK THAT WOULD BE ATTRACTIVE TO PEOPLE  
12 FOR THAT PURPOSE, AT LEAST SOME PEOPLE.

13 SO I THINK THERE WOULD BE INTEREST IN  
14 DOING THAT. SO IF YOU -- YOU CAN THINK OF OTHER  
15 SCENARIOS WHERE PEOPLE WOULD SAY, OH, MY GOSH,  
16 THAT'S AWFUL OF PEOPLE TO THINK ABOUT WHATEVER.

17 DIFFERENT STEPS ON THE PROCESS. I THINK  
18 WE'VE SORT OF SAID THERE'S A CLEAR LINE THAT MANY  
19 DIFFERENT SORT OF FAITH TRADITIONS HAVE DRAWN  
20 BETWEEN CREATION OF AN EMBRYO, A TOTALLY POTENT  
21 ENTITY, THAT THAT HAS ENORMOUS MORAL SIGNIFICANCE,  
22 AND THAT REQUIRES VERY HIGH LEVEL. THEN GOING  
23 BACKWARDS IS CREATING THE GAMETE, BUT NOT USING IT  
24 FOR FERTILIZATION. WHAT'S THE SIGNIFICANCE OF THAT?  
25 THE PREVIOUS RESEARCH, SORT OF THE MORE BASIC

## BARRISTERS' REPORTING SERVICE

1 RESEARCH, TO SORT OF DERIVE IT INTO SORT OF A GERM  
2 CELL PRECURSOR. SO I THINK THERE'S CLEARLY A LINE  
3 THAT EVERYONE AGREES ON. THEN WE NEED TO SORT OF  
4 THEN SAY HOW MUCH FURTHER BACK DOES THAT GO?

5 DR. TROUNSON: IT WAS CERTAINLY THE CASE  
6 THAT YOU COULD IMAGINE THAT YOU COULD DERIVE GERM  
7 CELLS. GIVEN THE CURRENT TECHNOLOGY, THERE MAY WELL  
8 BE INTEREST, FOR EXAMPLE, IN MEN WHO HAVE NO SPERM  
9 TO BE ABLE TO RECEIVE THEIR GERM CELLS BACK AND  
10 REALLY TEST IT SO THAT THEY WOULD ACTUALLY PRODUCE  
11 SPERM. YOU KNOW, YOU CAN WELL IMAGINE THAT THEY  
12 WOULD WANT TO RECOVER FERTILITY. IT'S THEIR OWN  
13 CELLS. IT'S THEIR OWN FERTILITY.

14 IT'S CERTAINLY THE CASE THAT IN THE  
15 REPRODUCTIVE AREA, THERE WILL BE CERTAINLY INTEREST  
16 IN THAT.

17 DR. PETERS: THE REASON THAT UP UNTIL THIS  
18 POINT EMBRYOS HAVE BEEN ETHICALLY SENSITIVE AND  
19 GAMETES HAVE BEEN ETHICALLY SENSITIVE, I THINK, IS  
20 PROBABLY GOING TO BE DIFFERENT THAN WHY IT IS THAT  
21 THE PRODUCTION OF TOTALLY PLURIPOTENT CELLS FROM IPS  
22 OR OTHER SORTS OF THINGS WILL COME TO THE FORE. SO  
23 COULD YOU STATE, IN YOUR JUDGMENT, EXACTLY WHY WE  
24 WOULD HAVE AN ETHICAL CONCERN BECAUSE I SUSPECT IT'S  
25 GOING TO BE DIFFERENT FROM THE VATICAN'S ETHICAL

**BARRISTERS' REPORTING SERVICE**

1 CONCERN UP UNTIL THIS POINT.

2 SO WHAT WOULD YOUR CONCERN BE IF WE DO  
3 MAKE BABIES FROM IPS EXPERIMENTS?

4 DR. DARNOVSKY: OUR CONCERN WOULD BE THAT  
5 THAT WOULD BE A PATH TOWARD GENETIC ENHANCEMENT OF  
6 FUTURE GENERATIONS WITH ALL THE ATTENDING SOCIAL  
7 CONSEQUENTIALITY OF THAT THAT, YOU KNOW, POTENTIALLY  
8 OPENS UP INTO EUGENIC SCENARIOS USING THIS KIND OF  
9 TECHNOLOGY.

10 CHAIRMAN LO: MAY I ALSO ASK, MARCY, IF  
11 YOU WOULD TO SORT OF SAY SOMETHING ABOUT WHY YOU  
12 DREW THE LINE AT PRODUCING GAMETES RATHER THAN  
13 PRODUCING EMBRYOS?

14 DR. DARNOVSKY: TO THE EXTENT THAT IT  
15 WOULD BE POSSIBLE TO INTRODUCE THOSE SORTS OF  
16 GENETIC SO-CALLED ENHANCEMENTS FOR REPRODUCTIVE  
17 PURPOSES. I'M IMAGINING THAT YOU COULD DO THAT IN  
18 GAMETES AS WELL AS IN EARLY STAGE EMBRYOS.

19 CHAIRMAN LO: BUT IF IT WAS POSSIBLE TO  
20 DRAW A BRIGHT LINE BETWEEN SAYING YOU CAN DO THE  
21 RESEARCH, BUT YOU CAN'T PRODUCE THE EMBRYO, WHICH  
22 THEN COULD BE IMPLANTED. SO THE QUESTION IS, YOU  
23 WANT, IF YOU WANT TO STOP THE ULTIMATE OUTCOME, HOW  
24 FAR BACK. THOSE --

25 DR. DARNOVSKY: THESE THINGS, BECAUSE OF

## BARRISTERS' REPORTING SERVICE

1 THIS NOW PROSPECT OF PRODUCING PLURIPOTENT AND  
2 EVENTUALLY TOTIPOTENT CELLS USING THE CELL  
3 REPROGRAMMING METHODS, IT BLURS SOME OF THESE LINES  
4 THAT USED TO BE BRIGHTER.

5 CHAIRMAN LO: AND YOUR CONCERN WITH  
6 GENETIC ENGINEERING, EVEN IF THE ONLY GENETIC  
7 MODIFICATIONS YOU MADE WERE TO MAKE THE SOMATIC CELL  
8 PLURIPOTENT AS OPPOSED TO THROWING IN A GENE FOR  
9 BETTER MEMORY AND LESS SLEEP AND --

10 DR. DARNOVSKY: THOSE ARE THE THINGS --  
11 THE LATTER IS WHAT OUR CONCERN IS, THE ABILITY TO  
12 MAKE STEM CELLS WITHOUT HAVING TO --  
13 DISEASE-SPECIFIC OR PATIENT-SPECIFIC STEM CELLS  
14 WITHOUT HAVING TO TAKE ON ALL THE PROBLEMS AND  
15 DIFFICULTIES AND RISKS OF EXTRACTING EGGS FROM  
16 WOMEN. THAT'S VERY ATTRACTIVE OBVIOUSLY.

17 DR. TROUNSON: I THINK IT MIGHT BE THE  
18 BRIGHT LINE THAT I THINK IS THE ONE TO MAKE SURE  
19 THAT IF YOU ARE GOING TO ACTUALLY TRANSPLANT ANY OF  
20 THIS MATERIAL, ANY OF IT, THAT YOU ACTUALLY GO TO A  
21 MUCH DETAILED, MUCH HIGHER LEVEL, WHICH WOULD BRING  
22 FORTH THESE KIND OF THINGS BECAUSE I MEAN I THINK,  
23 AS YOU SAY, MOST PEOPLE WOULDN'T BE SUPPORTIVE OF  
24 ANY KIND OF ENHANCEMENT. BUT THEY MIGHT THINK ABOUT  
25 THE CORRECTION OF HUNTINGTON'S DISEASE, OR SOMETHING

## BARRISTERS' REPORTING SERVICE

1 LIKE THIS MAY BRING A BIT OF A DIFFERENT THOUGHT.

2 CHAIRMAN LO: OR THALASSEMIA, WHICH IS A  
3 SINGLE MUTATION.

4 DR. TROUNSON: I THINK THERE'S SUCH  
5 INCREDIBLE RISKS IN ALL OF THAT, THAT YOU WOULD HAVE  
6 TO HAVE A VERY DETAILED AND PROPER EXAMINATION OF  
7 THE WHOLE PERSPECTIVE SO THAT ANY KIND OF TRANSPLANT  
8 ACTUALLY HAS TO BE, AND I INCLUDE ANIMALS BECAUSE  
9 YOU COULD MAKE THEM IN ANIMALS. I THINK THAT NEEDS  
10 TO BE CONSIDERED AS WELL. AND I ALSO THINK IN THE  
11 FORMATION OF AN EMBRYO IN THE LABORATORY BRINGS THE  
12 SAME KIND OF BRIGHT LINE.

13 DR. DARNOVSKY: THERE'S DOWNSTREAM AND  
14 THERE'S WAY, WAY DOWNSTREAM. I THINK THE DOWNSTREAM  
15 TRANSPLANTATION FOR CLINICAL USES, THAT'S ALREADY  
16 FOR VERY DIFFERENT REASONS A CONCERN THAT WE'RE  
17 TALKING ABOUT HERE. THE WAY, WAY DOWNSTREAM I  
18 DIDN'T KNOW IF IT MADE SENSE TO RAISE IT, BUT I  
19 DECIDED DO IT BECAUSE YOU DID, TED.

20 CHAIRMAN LO: ONE OF THE THINGS WE'RE  
21 TRYING TO DO HERE IS TO SEE THE BIG PICTURE. THANK  
22 YOU. THERE'S SOMEONE ON THE PHONE WANTED TO  
23 COMMENT.

24 DR. PRIETO: I REALLY APPRECIATED ALAN'S  
25 COMMENTS, AND PARTICULARLY THE MENTION OF

## BARRISTERS' REPORTING SERVICE

1 HUNTINGTON'S DISEASE. AND I GUESS I'D WANT, IS IT  
2 MARCY, TO COMMENT ON THAT SPECIFIC SITUATION BECAUSE  
3 I CAN IMAGINE THAT THERE ARE FAMILIES AND  
4 INDIVIDUALS VERY INTERESTED IN FERTILITY WHO, IF THE  
5 POSSIBILITY OF MAKING THAT MODIFICATION EXISTED,  
6 WOULD BE VERY INTERESTED. AND I JUST WANTED TO HEAR  
7 HER COMMENTS.

8 DR. DARNOVSKY: YEAH. THAT RAISES A  
9 REALLY DIFFICULT DILEMMA BECAUSE THE BLURRINESS, THE  
10 INHERENT BLURRINESS OF THE LINE BETWEEN THERAPEUTIC  
11 AND ENHANCEMENT USES REALLY PUTS US IN A SITUATION  
12 WHERE IT'S NOT AS EASY TO MAKE POLICY TO PREVENT THE  
13 OUTCOMES THAT YOU WANT TO PREVENT. SO I DON'T THINK  
14 WE CAN SOLVE THAT HERE.

15 BUT ARGUABLY -- WELL, I DON'T THINK WE CAN  
16 SOLVE THAT RIGHT NOW, BUT I THINK PUTTING IT ON THE  
17 TABLE AND SAYING WE WOULD LIKE TO MAKE POLICY THAT  
18 PRECLUDES GENETIC ENHANCEMENT OF FUTURE GENERATIONS  
19 USING THESE TECHNOLOGIES IS A GOOD THING AS A GOAL  
20 THAT WE HAVE TO WORK TOWARDS.

21 DR. PRIETO: IS THIS GENETIC ENHANCEMENT,  
22 OR IS THIS THERAPEUTIC?

23 DR. DARNOVSKY: THAT'S THE CONCERN.  
24 THAT'S THE BLURRINESS THAT RAISES THE DILEMMA.

25 CHAIRMAN LO: SO LET ME TRY AND SEE WHERE



## BARRISTERS' REPORTING SERVICE

1 I THINK WE ARE AFTER THIS DISCUSSION AND THE ISSUES  
2 THAT WERE RAISED WITH ICOC AND GEOFF IDENTIFIED.

3 SO I THINK WHAT WE'RE TRYING TO DO NOW IS  
4 IDENTIFY A SET OF RESEARCH INVOLVING DONATION OF  
5 SOMATIC CELLS, DERIVATION OF IPS LINES FOR WHICH WE  
6 WOULD WANT TO NOT REQUIRE THE STRICT STANDARDS OF  
7 OVERSIGHT AND CONSENT THAT WE NOW HAVE IN PLACE  
8 UNDER THE CURRENT REGULATIONS. WHAT I'M GOING TO  
9 SUGGEST IS THAT THE TYPES OF RESEARCH WE'RE TRYING  
10 TO TALK ABOUT ARE NOT THE CONTROVERSIAL RESEARCH OR  
11 THE NEAR CONTROVERSIAL RESEARCH, BUT THE SORT OF  
12 MUCH MORE BASIC, WHICH I HOPE IS NOT CONTROVERSIAL,  
13 DONATION OF SOMATIC CELLS FOR THE PURPOSE OF  
14 DERIVING IPS LINES OR THE USE OF EXISTING SOMATIC  
15 CELLS. AND THE DERIVATION WOULD MEAN THE  
16 CHARACTERIZATION OF THE LINE BY EXAMINING OF ITS  
17 NEUROMARKERS AND PROPERTIES AND ESTABLISHING PROOF  
18 OF PLURIPOTENCY.

19 NOW, I DO SORT OF HAVE TO RAISE THE ISSUE  
20 THAT ONE OF THE CLASSIC TESTS OF PLURIPOTENCY IS IF  
21 YOU INJECT INTO A MOUSE, DO YOU DERIVE A TERATOMA  
22 THAT SHOWS ALL THREE EMBRYONIC. RIGHT NOW YOU'RE  
23 ALREADY TALKING ABOUT PUTTING THINGS INTO ANIMALS.  
24 THAT, IT STRIKES ME, YOU MAY WANT TO SAY, WE MAY  
25 WANT TO SAY DOES NOT REQUIRE FULL SCRO REVIEW.

## BARRISTERS' REPORTING SERVICE

1 THE NAS HAS ACTUALLY RECOMMENDED THAT ONLY  
2 REQUIRES SCRO NOTIFICATION, THAT YOU SAY TO YOUR  
3 SCRO THIS IS WHAT WE'RE PROPOSING TO DO. WE'RE  
4 TELLING YOU WE'RE GOING TO DO THAT, BUT UNDER NAS  
5 GUIDELINES, YOU DON'T EVEN HAVE TO LOOK AT IT,  
6 REVIEW IT IN DETAIL BECAUSE THAT'S ALL WE'RE DOING.  
7 THAT'S ONE PROPOSAL.

8 SO THERE'S THREE DIFFERENT VARIABLES HERE.  
9 WHAT KIND OF RESEARCH ARE WE TALKING ABOUT?  
10 SECONDLY, WHAT ARE WE GOING TO DO WITH REGARD TO  
11 OVERSIGHT REVIEW? AND THIRD IS WHAT KIND OF CONSENT  
12 ARE WE GOING TO REQUIRE?

13 AND, AGAIN, SO THE QUESTION NOW IS WHETHER  
14 WE ALLOW THIS TYPE OF WORK TO PROCEED WITH  
15 IDENTIFIABLE MATERIALS UNDER JUST A GENERAL CONSENT  
16 TO RESEARCH AS OPPOSED TO A MORE SPECIFIC CONSENT  
17 FOR DERIVATION OF STEM CELLS WHICH MAY INVOLVE ALL  
18 THE FOLLOWING. IT'S NOT TO SAY YOU COULD DO MORE IF  
19 YOU WANTED, BUT YOU DON'T HAVE TO DO THAT MUCH  
20 DETAIL AND CONSENT TO ALLOW JUST THIS TYPE OF  
21 RESEARCH. WE'LL TALK ABOUT PAYMENT IN A MINUTE  
22 BECAUSE WE HAVEN'T TALKED ABOUT THAT YET. IS THAT,  
23 GEOFF, WHAT I THINK --

24 DR. LOMAX: YES, EXACTLY. THOSE ARE THE  
25 CATEGORIES. AND YOU'VE DONE A NICE JOB, BERNIE, OF

## BARRISTERS' REPORTING SERVICE

1 KIND OF ILLUSTRATING THE INTERACTION. THAT'S WHY  
2 WE'VE CONSTRUCTED THOSE THREE CATEGORIES OR WHY WE  
3 SORT OF HIGHLIGHTED THOSE THREE CATEGORIES THAT  
4 EXIST IN THE REGULATIONS. I THINK YOU SUMMED IT UP  
5 NICELY.

6 CHAIRMAN LO: WE DON'T WANT TO CALL IT IN  
7 VITRO RESEARCH BECAUSE WE WANT TO LEAVE OUT OF THIS  
8 IN VITRO FERTILIZATION, RIGHT? SO I THINK THAT'S  
9 NOT --

10 DR. LOMAX: JUST TO SAY, I APPRECIATE THE  
11 PREVIOUS DISCUSSION, BUT IN MY MIND, AS I WAS SORT  
12 OF TICKING THROUGH EVERY ONE OF THOSE POINTS, I  
13 DON'T SEE ANY GAP IN OUR REGULATIONS THAT DOESN'T  
14 APPLY OUR MOST STRICT STANDARDS ON THOSE TYPES OF  
15 ACTIVITIES, CREATION OF EMBRYOS, THE IMPLANTATION OF  
16 EMBRYOS. UNLESS I'M MISSING SOMETHING, AND I'D BE  
17 HAPPY TO SORT OF RECEIVE THAT.

18 CHAIRMAN LO: WE WANT TO WRITE THIS --  
19 THIS IS A REVISION TO OUR CURRENT VERY STRICT  
20 STANDARDS. WE WANT TO MAKE SURE WE'RE NOT ALLOWING  
21 AS AN EXCEPTION STUFF THAT WE DIDN'T MEAN TO ACCEPT.  
22 SO THAT'S WHY I'M WORRIED ABOUT USING IN VITRO TO  
23 CHARACTERIZE THIS TYPE OF RESEARCH.

24 I'M ALSO, I MUST SAY, CONCERNED ABOUT THE  
25 INJECTION TO ANIMALS BECAUSE TO ME THERE'S A --

## BARRISTERS' REPORTING SERVICE

1 WELL, YOU KNOW, I'M JUST ME, BUT I THINK THERE ARE  
2 MORE PEOPLE WHO ARE CONCERNED ABOUT INJECTING HUMAN  
3 NEURAL PRECURSORS CELLS INTO NONHUMAN ANIMALS,  
4 PARTICULARLY PRIMATES, THAN INJECTING -- I MEAN  
5 BECAUSE RIGHT NOW THIS IS WHAT PEOPLE DO WITH  
6 EMBRYONIC STEM CELLS. THEY INJECT THEM INTO MICE TO  
7 FORM TERATOMAS. SO I THINK WHOLESAL BANNING OF ALL  
8 TRANSPLANTATION TO ANIMALS WOULD SET BACK STEM CELL  
9 BASIC SCIENCE IN WAYS THAT'S INCONSISTENT WITH  
10 WHAT'S DONE IN LABORATORIES NOW, WHICH I THINK WE  
11 DON'T WANT TO DO. WE DON'T WANT TO MAKE IT HARDER  
12 TO DO THIS WHEN THEY'RE DOING THE EXACT SAME THING  
13 THAT RESEARCHERS USING ES LINES ARE DOING.

14 DR. TAYLOR: I ACTUALLY THINK THAT  
15 CREATING TERATOMAS IS ALMOST PART OF THE DEFINITION  
16 OF THE IPS CELL.

17 CHAIRMAN LO: RIGHT.

18 DR. TAYLOR: WE DON'T REALLY WANT TO  
19 PREVENT THAT.

20 CHAIRMAN LO: THAT'S WHY I WAS SAYING  
21 TRANSPLANTATION TO ANIMALS, IF WE PUT THAT IN,  
22 BECAUSE WE'RE THINKING ABOUT THE HUMAN NEURO MOUSE,  
23 WOULD INADVERTENTLY EXCLUDE THESE KINDS OF TERATOMA  
24 EXPERIMENTS, WHICH I THINK WE DON'T WANT TO DO.

25 DR. LOMAX: JUST TO REMIND YOU ALL, THE

## BARRISTERS' REPORTING SERVICE

1 WAY WE DID ADDRESS THAT IS IT'S THE LANGUAGE WHICH  
2 ACKNOWLEDGES THE STATE OF THE SCIENCE IS THAT THOSE  
3 EXPERIMENTS ARE INTEGRAL TO DETERMINING  
4 PLURIPOTENCY, AND THEN THE REGULATIONS REQUIRE THAT  
5 THOSE ANIMALS BASICALLY MUST BE DESTROYED. THEY'RE  
6 NOT ALLOWED TO BREED. SO THAT'S HOW IT'S SORT OF  
7 HANDLED BOTH IN OUR REGULATION AND THE NATIONAL  
8 ACADEMIES. IT'S THAT ONCE THAT EXPERIMENT IS DONE,  
9 THOSE ANIMALS ARE DESTROYED.

10 CHAIRMAN LO: MAYBE YOU COULD HELP ME,  
11 GEOFF. WHAT'S THE LANGUAGE WITH THE NAS GUIDELINES  
12 ON THIS? WHAT ARE THEY PUTTING IN AS THE EXCLUSION?

13 DR. LOMAX: LET ME SEE IF I CAN GO BACK  
14 HERE. THE LANGUAGE IS IN THE FOOTNOTE OF SLIDE 2,  
15 AND I CAN GIVE YOU THE MORE -- I'LL JUST TURN TO THE  
16 NATIONAL ACADEMIES DOCUMENT TO MAKE SURE.

17 CHAIRMAN LO: THEY WERE TALKING ABOUT  
18 HUMAN TRANSPLANTATION, AND WE'VE SORT OF SAID, WELL,  
19 HOW ABOUT INJECTING HUMAN CELLS INTO ANIMALS. THERE  
20 ARE PEOPLE --

21 DR. TROUNSON: BERNIE, I THINK YOU NEED TO  
22 BE CAREFUL HERE BECAUSE I WAS TALKING ABOUT THE  
23 INJECTION OF GAMETES INTO ANIMALS SPECIFICALLY.  
24 BECAUSE I THINK A LOT OF FUNCTIONALITY REQUIRES THAT  
25 YOU ACTUALLY INSERT IT INTO SOME ANIMAL TISSUE TO

## BARRISTERS' REPORTING SERVICE

1 FIGURE OUT WHETHER IT ACTUALLY WORKS, WHETHER IT  
2 FUNCTIONS. THAT'S PART OF THE PROOF OF CONCEPT  
3 NECESSARY FOR YOU EVER TO MOVE TO THE HUMAN.

4 CHAIRMAN LO: YOU WOULDN'T WANT -- IT  
5 WOULD BE AWFUL TO ALLOW THAT AND TO SORT OF INJECT A  
6 DERIVED LINE INTO A HUMAN BEFORE YOU'VE SHOWN IN  
7 ANIMALS THAT IT DOESN'T DIFFERENTIATE INTO SOMETHING  
8 YOU DON'T WANT.

9 SO WE COULD -- AGAIN, I THINK THE OTHER  
10 WAY TO DO IT IS TO SAY THERE'S ANOTHER LINE WE DON'T  
11 ALLOW PEOPLE USING THESE TYPES OF REVIEW AND CONSENT  
12 TO DO REPRODUCTIVE RESEARCH TRANSPLANTATION TO OTHER  
13 HUMAN BEINGS. I THINK THOSE ARE THE THINGS WE MEANT  
14 TO EXCLUDE.

15 MY OWN SENSE IS THAT WE SHOULD SORT OF  
16 ALLOW -- WRITE THE EXCEPTION TO ALLOW WHAT WE WANT  
17 TO ALLOW, AND THEN LEAVE SORT OF A GRAY ZONE  
18 UNADDRESSED HERE BECAUSE THEY WOULD NOW FALL UNDER  
19 THE MORE RIGOROUS REVIEW AND THE MORE RIGOROUS  
20 CONSENT. WE'RE NOW CARVING OUT AN EXCEPTION FROM  
21 THE VERY, VERY HIGH STANDARDS OF CONSENT AND REVIEW.

22 DR. TROUNSON: JUST NEED TO SORT OF  
23 RECOGNIZE THAT IF YOU ACTUALLY PUT, SAY, GERM CELLS  
24 INTO A MOUSE, HUMAN GERM CELLS, YOU MIGHT END UP  
25 WITH HUMAN SPERM. SO THAT, I THINK, WOULD PUT A

## BARRISTERS' REPORTING SERVICE

1 FLAG UP IN THE SYSTEM; WHEREAS, LOOKING AT  
2 FUNCTIONALITY OF NEURAL CELLS EVEN IN THE BRAIN IN  
3 AN IMMUNE-COMPROMISED MOUSE IS REALLY SORT OF  
4 INCREDIBLY ROUTINE AND REQUIRED. SO I THINK THE  
5 DEVICE NEEDS TO RUN WITH THE AREA WHERE THE  
6 COMMUNITY IS SENSITIVE ABOUT THIS.

7 DR. LOMAX: WAY THE NATIONAL ACADEMIES  
8 CAPTURED THIS, AND IT IS CAPTURED IN THAT FOOTNOTE  
9 ON THE SECOND SLIDE, IS THAT THEY DRAW THE LINE OF  
10 DERIVE A PRODUCT FOR HUMAN TRANSPLANTATION, WHICH I  
11 THINK IS A VERY CLEVER WAY OF DOING IT BECAUSE IT'S  
12 NOT LIMITING IT TO THE CELLS YOU DERIVE, BUT ANY  
13 SUBSEQUENT DOWNSTREAM PRODUCT INTENDED TO BE  
14 TRANSPLANTED TO A HUMAN. SO I THINK THAT CONSTRUCT  
15 IS SOMETHING WE CAN WORK WITHIN TO ENSURE THAT THE  
16 SCOPE HERE IS VERY NARROW, VERY DISCRETE, AND  
17 CONSISTENT WITH WHAT YOU'VE OUTLINED. THAT'S HOW  
18 THE NATIONAL ACADEMIES ADDRESSED IT.

19 CHAIRMAN LO: YEAH, BUT THEY DIDN'T -- DID  
20 THEY ADDRESS THE ISSUE OF TAKING A PLURIPOTENT LINE,  
21 TRYING TO DERIVE GAMETES, AND THEN FERTILIZE THEM?

22 DR. LOMAX: YES. THEY TALK ABOUT FUNDED  
23 RESEARCH DESIGNED OR EXPECTED TO YIELD GAMETES OR  
24 BLASTOCYSTS OR DERIVE A PRODUCT FOR HUMAN  
25 TRANSPLANTATION. SO THEY TRIED TO HIT EACH OF THOSE

## BARRISTERS' REPORTING SERVICE

1 CATEGORIES.

2 CHAIRMAN LO: THEY'RE SAYING THAT IS NOT  
3 FORBIDDEN. IT JUST HAS TO HAVE FULL SCRO REVIEW.

4 DR. LOMAX: THAT BUMPS UP TO THE ELEVATED  
5 TOP STANDARD, HOWEVER YOU WANT TO DESCRIBE IT, YES.

6 CHAIRMAN LO: SO THAT LEAVES OPEN THE  
7 QUESTION OF WHETHER SOME OF THAT RESEARCH IS -- THE  
8 NAS DOES HAVE KINDS OF RESEARCH WHICH ARE NOT  
9 APPROVABLE, AND THOSE WOULD NOT AUTOMATICALLY.

10 AGAIN, I THINK THAT IF WE'RE SAYING THAT  
11 WE HAVE VERY STRICT STANDARDS EXISTING AND WE'RE  
12 TRYING TO CARVE OUT AN EXCEPTION TO THAT, AND THE  
13 CARVE-OUT WOULD BE DONATION OF SOMATIC CELLS,  
14 DERIVATION OF IPS LINE, I'M NOT QUITE SURE WHAT  
15 OTHER LANGUAGE, CHARACTERIZATION AND PROOF OF  
16 PLURIPOTENCY, BUT ALSO, AS ALAN POINTED OUT, IF YOU  
17 THEN DERIVE A DERIVATIVE OF A PLURIPOTENT LINE, YOU  
18 WANT TO SHOW THAT IT ACTUALLY IS THE LINEAGE YOU  
19 THOUGHT IT WAS AND DOES HAVE THE PROPERTIES OF THAT  
20 LINE. SO IT GOES A LITTLE BEYOND THAT.

21 SO I THINK WE NEED TO -- I GUESS I'M  
22 TRYING NOW TO STRUGGLE WITH DO WE HAVE THE CONCEPT  
23 IN PLACE. I GUESS I'M GOING TO TURN IT BACK TO THE  
24 COMMITTEE. A NUMBER OF YOU HAVE GIVEN VERY  
25 THOUGHTFUL COMMENTS. ARE YOU COMFORTABLE WITH THAT



## BARRISTERS' REPORTING SERVICE

1 AS THE DIRECTION WE'RE GOING? SO DOROTHY, TED,  
2 OTHERS WHO HAVE JOINED IN ON THIS, JEFF SHEEHY, NAME  
3 THEM ALL. LET ME GET YOUR THOUGHTS ON THIS AFTER  
4 OUR DISCUSSION. TED.

5 DR. PETERS: THUMBS UP.

6 CHAIRMAN LO: DOROTHY, DOES THIS --

7 PROFESSOR ROBERTS: I'M COMFORTABLE WITH  
8 IT JUST -- SO FAR WE'VE JUST TALKED ABOUT -- WE'RE  
9 SORT OF FOCUSING ON THE SCRO REVIEW. I'M  
10 COMFORTABLE AS LONG AS THE EXCEPTION IS VERY CLEARLY  
11 STATED. YOU KNOW, I THINK THAT SINCE WE'RE TALKING  
12 ABOUT AN EXCEPTION, IT SHOULD BE VERY CLEAR WHAT THE  
13 EXCEPTION APPLIES TO.

14 CHAIRMAN LO: OKAY.

15 PROFESSOR ROBERTS: AND THE WAY IT SEEMS  
16 TO BE WORDED NOW IS THERE'S THE ASTERISK FOR WHAT  
17 THE EXCEPTION WOULD NOT APPLY TO, BUT I WOULD WANT  
18 TO MAKE SURE THERE'S NO GRAY AREA IN BETWEEN, AND  
19 IT'S CLEAR THAT THE EXCEPTION ONLY APPLIES TO THIS  
20 SPECIFIC ACTIVITY.

21 CHAIRMAN LO: SO IF I MAY TRY AND SEE IF I  
22 UNDERSTAND YOU. WHAT YOU'RE SAYING IS WE ACTUALLY  
23 SPECIFY WHAT THE EXCEPTION IS TO THE HEIGHTENED  
24 CONSENT AND OVERVIEW SO THAT IF IT'S IN THE GRAY  
25 ZONE, IT WILL REQUIRE FULL SCRO REVIEW AND FULL

**BARRISTERS' REPORTING SERVICE**

1 ROBUST CONSENT.

2 PROFESSOR ROBERTS: I THINK THAT'S  
3 GENERALLY THE BEST WAY TO TREAT AN EXCEPTION. IT'S  
4 CLEAR WHAT ACTIVITY THE EXCEPTION APPLIES TO.

5 DR. LOMAX: JUST TO MAKE A NOTE  
6 PROCEDURALLY, BECAUSE I THINK THAT'S AN EXCELLENT  
7 COMMENT FOR US. BUT TO REMIND EVERYONE OF THE  
8 PROCESS HERE, WE TYPICALLY KIND OF GET A CONCEPTUAL  
9 DECISION ABOUT SORT OF HOW WE WANT TO MOVE AN  
10 AMENDMENT. WE THEN ARE OBLIGATED TO SORT OF SPELL  
11 THAT OUT IN VERY PRECISE LANGUAGE, AND THEN WE HAVE  
12 TYPICALLY A VERY ROBUST PUBLIC COMMENT PERIOD AND  
13 OPPORTUNITY FOR REVIEW BY THE WORKING GROUP AS WELL  
14 WHERE WE'LL HAVE TO COME BACK. AND WE INEVITABLY  
15 WILL ALWAYS HAVE TO MAKE MODIFICATIONS.

16 SO SORT OF THE NEXT STEP IS THAT, I THINK,  
17 THE NEXT LEVEL OF ANALYSIS YOU EXPECT. I JUST  
18 WANTED TO EMPHASIZE THAT WE'RE KIND OF IN STAGE ONE  
19 OF THE PROCESS WHERE WE SORT OF GET A CONCEPTUAL IN  
20 OR OUT OF A PARTICULAR POLICY. AND THEN I THINK  
21 THROUGH THE SUBSEQUENT STEPS, WE HAVE A VERY ROBUST  
22 PROCESS SORT OF TO PERFORM THAT EVALUATION AND SEE  
23 WHERE IT ENDS UP.

24 PROFESSOR ROBERTS: OKAY. SO LET ME  
25 REFRAME THAT SINCE I'M SURE THERE WILL BE THESE

## BARRISTERS' REPORTING SERVICE

1 FURTHER STEPS THAT WILL -- THAT CLARIFY THE  
2 EXCEPTION. BROADLY SPEAKING, I AGREE WITH THE NEED  
3 FOR AN EXCEPTION FOR SOMATIC CELLS AS WE'VE  
4 DISCUSSED.

5 CHAIRMAN LO: OKAY. THANKS, DOROTHY.

6 DR. CIBELLI: CAN I ADD SOMETHING? I HAVE  
7 A -- WELL, IT'S SOMETHING THAT WE HAVE TO  
8 CONTEMPLATE IN RESEARCH. MANY OF THE GREAT  
9 DISCOVERIES HAPPEN BY CHANCE. SO WHAT IF SOMEONE IS  
10 USING THE CELLS TO PRODUCE OTHER SOMATIC CELLS, AND  
11 ALL OF A SUDDEN YOU START GETTING GERM CELLS IN YOUR  
12 PLATE OR OOCYTES OR SPERM OR ANY GAMETES? WHAT  
13 WOULD YOU DO IN THAT CASE? WILL THE CONSENT BE  
14 DIFFERENT?

15 CHAIRMAN LO: WELL, AGAIN, I THINK WHAT  
16 WE'RE ESTABLISHING HERE IS AN EXCEPTION, BUT THERE'S  
17 NOTHING -- JUST BECAUSE OF THAT, A RESEARCHER MAY  
18 SAY, YOU KNOW, TO TAKE INTO ACCOUNT, I'M GOING TO  
19 GET REALLY FULL CONSENT OR AT LEAST CONSENT TO GO  
20 BACK AND RECONTACT THEM TO SAY SOMETHING CAME UP  
21 THAT WE WEREN'T ANTICIPATING, BUT WE'D LIKE TO  
22 PURSUE IT. WE DIDN'T REALLY ASK YOU ABOUT THAT, BUT  
23 WE WANTED TO GET YOUR PERMISSION TO DO THIS NEW LINE  
24 OF EXPERIMENTS.

25 MS. LANSING: YOU'RE ESTABLISHING THE

## BARRISTERS' REPORTING SERVICE

1 MINIMUM THAT YOU CAN DO.

2 CHAIRMAN LO: WE'RE ESTABLISHING THE  
3 MINIMUM. MY OWN SENSE, BUT I'M NOT A RESEARCHER  
4 HERE, IS THAT, YOU KNOW, PEOPLE MAY SAY WE'RE GOING  
5 TO DO MUCH MORE THAN MINIMUM BECAUSE IT WILL MAKE  
6 OUR LIFE EASIER FOR US LATER ON OR FOR OTHER  
7 RESEARCHERS. BUT THAT'S GOING BEYOND WHAT WE'RE  
8 DOING HERE, JUST AS CARVING OUT, AS DOROTHY PUT IT,  
9 A NARROW EXCEPTION.

10 DR. TROUNSON: THAT WAS THE VIEW THAT I  
11 HAD, THAT YOU SHOULDN'T -- IF YOU'RE JUST TRYING TO  
12 DERIVE CELLS IN THE LABORATORY, IF YOU HAPPEN TO GO  
13 DOWN THE GERM CELL LINEAGE AND END UP WITH SOMETHING  
14 THAT IS A PRE-GAMETE, YOU WOULDN'T BE IN AWFUL  
15 DIFFICULTY. I REALLY THINK THAT THE BIG PROBLEM  
16 COMES IF YOU JOIN THE GAMETES IN ANY WAY TOGETHER  
17 AND, HENCE, THE EMBRYO, OR IF YOU TRANSFER THOSE  
18 CELLS TO AN ANIMAL AND PRODUCE GAMETES. SO MY  
19 CONCERNS WERE, I THINK, IN LINE WITH WHAT YOU WERE  
20 WORRIED ABOUT.

21 DR. CIBELLI: THANK YOU.

22 CHAIRMAN LO: SO WHAT I'VE PUT UP ON THE  
23 BOARD HERE, I KNOW THE REST OF YOU CAN'T SEE IT, IS  
24 WHAT WE'RE TALKING ABOUT IS DONATION OF SOMATIC  
25 CELLS, DERIVATION OF AN IPS LINE, CHARACTERIZATION

## BARRISTERS' REPORTING SERVICE

1 OF THE CELLS IN THAT LINE, ESTABLISHING PROOF OF  
2 PLURIPOTENCY. AND IF YOU THEN DERIVE A SPECIALIZED  
3 CELL LINE, SUCH AS A BETA CELL TO PRODUCE INSULIN OR  
4 A CARDIOMYOCYTE, THAT YOU CAN CARRY OUT THE  
5 EXPERIMENTS TO PROVE THAT YOU'VE ACTUALLY DERIVED  
6 THAT SPECIAL LINE, WHICH MAY INVOLVE, AS ALAN HAS  
7 POINTED OUT, INJECTION INTO ANIMALS TO PROVE THAT  
8 THE LINEAGE HAS REALLY BEEN DRIVEN THE WAY YOU HOPED  
9 IT WOULD BE. BUT WE WOULD SAY NOT IF YOU'RE  
10 INTENTIONALLY TRYING TO DERIVE GAMETES CAN YOU USE  
11 THE SORT OF LIGHTER REVIEW AND THE LESS DETAILED  
12 CONSENT.

13 SO, AGAIN, THIS IS PRETTY NARROW, BUT  
14 WE'RE -- I THINK WHAT I'D LIKE TO DO IS SAY DERIVE  
15 THE CELLS, USE THINGS PEOPLE ARE HOPING FOR, CURES  
16 FOR DIABETES, TREATMENTS FOR DIABETES, NEUROLOGICAL  
17 DEGENERATIVE DISEASES, HEART DISEASE, ALL THE OTHER  
18 THINGS. DO THE WORK THAT YOU NEED TO DO TO  
19 ESTABLISH THAT WE'RE READY TO START THINKING ABOUT  
20 DOING HUMAN RESEARCH.

21 COMMENTS FIRST FROM THE COMMITTEE AND THEN  
22 THERE MAY BE SOME MORE PUBLIC COMMENT. ANYONE ELSE  
23 ON THE COMMITTEE WANT TO COMMENT ON THIS?

24 MS. LANSING: JUST TO SAY THAT I'M  
25 COMFORTABLE WITH IT BECAUSE I DO THINK IT'S THE

## BARRISTERS' REPORTING SERVICE

1 MINIMUM, AND I DO KNOW THAT IT'S GOING TO BE  
2 INTENSELY SCRUTINIZED.

3 CHAIRMAN LO: I GUESS, AS GEOFF SAID,  
4 WE'RE GOING TO TRY -- SO THIS IS NOW JUST GETTING  
5 THE CONCEPT. WE NEED TO VOTE ON HAVING THE CONCEPT,  
6 GEOFF?

7 DR. LOMAX: I'D LIKE TO REVISIT THE ROLL  
8 CALL BECAUSE LAST TIME I CHECKED, WE WERE AT 12  
9 MEMBERS. AND IF WE'RE AT 13 MEMBERS, IT PUTS US  
10 PAST A THRESHOLD OF WHETHER THIS IS A FORMAL  
11 RECOMMENDATION OF THE WORKING GROUP OR SENSE OF THE  
12 COMMITTEE. WE CAN DO THAT, BUT WE MIGHT AS WELL  
13 WAIT UNTIL YOU WANT TO CALL THE QUESTION. I ALERT  
14 YOU TO THE FACT THAT YOU DID WANT TO DISCUSS THE  
15 ISSUES OF PAYMENTS, WHICH YOU STILL HAVEN'T  
16 ADDRESSED.

17 CHAIRMAN LO: THAT'S WHAT I WAS GOING TO  
18 GET TO. SO NOW, ASSUMING WE HAVE A SENSE OF THE  
19 COMMITTEE THAT WE'RE GOING TO AGREE FOR THIS NARROW  
20 SET OF RESEARCH TO HAVE AN EXCEPTION TO REVIEW AND  
21 CONCEPT, LET'S TALK ABOUT PAYMENT.

22 THERE WERE MANY, MANY OBJECTIONS TO THE  
23 IDEA OF PAYING FOR EMBRYOS OR ESPECIALLY OOCYTES TO  
24 DERIVE HUMAN EMBRYONIC STEM CELL LINES. IN FACT,  
25 THERE'S A PROHIBITION IN PROP 71 ABOUT PAYMENT FOR

## BARRISTERS' REPORTING SERVICE

1 OOCYTES FOR THAT PURPOSE. THERE ARE MANY REASONS  
2 WHICH WE SORT OF ALLUDED TO AT THE BEGINNING OF THE  
3 SESSION AS TO WHY THAT IS, THE SENSITIVITY, THE  
4 RISK, THE CONCERNS ABOUT EXPLOITATION OF WOMEN,  
5 COMMODIFICATION OF REPRODUCTIVE TISSUE, ETC.

6 HOWEVER, THE FACT OF LIFE IS IF A PERSON  
7 UNDERGOES A SKIN BIOPSY FOR RESEARCH PURPOSES,  
8 TYPICALLY, OR NOT TYPICALLY, IT'S NOT UNCOMMON FOR  
9 THEM TO BE PAID SOME AMOUNT OF MONEY, 10, 25,  
10 PERHAPS EVEN \$50 AS IT IS FOR OTHER TYPES OF  
11 RESEARCH PARTICIPATION, USUALLY NOT PAID FOR JUST  
12 DONATION OF BLOOD. AND SO THE QUESTION IS SHOULD WE  
13 PERMIT PAYMENTS APPROVED BY THE LOCAL IRB TO BE MADE  
14 FOR PEOPLE DONATING SOMATIC CELLS FOR THESE TYPES OF  
15 NARROW RESEARCH?

16 DR. TROUNSON: BERNIE, IN ADDITION, ONE OF  
17 THE MORE RECENT PUBLICATIONS HAS SUGGESTED THAT  
18 LIVER CELLS ARE MUCH EASIER TO REPROGRAM. AND  
19 TAKING A LIVER BIOPSY IS A LITTLE DIFFERENT THAN  
20 TAKING A SKIN BIOPSY, AND I CAN WELL IMAGINE THAT  
21 THERE WOULD BE SOME COMPENSATION FOR THAT.

22 CHAIRMAN LO: AGAIN, TYPICALLY THAT'S IN  
23 THE SEVERAL HUNDRED DOLLARS. IN OUR INSTITUTION,  
24 INVASIVE PROCEDURE LIKE LIVER BIOPSY IS 300 OR 500  
25 OR MORE DOLLARS. SO, AGAIN, WE JUST HAVE TO SAY

## BARRISTERS' REPORTING SERVICE

1 THAT THERE'S A PRACTICE THAT'S OVERSEEN, REVIEWED BY  
2 IRB'S, AND PERMITTED FOR PAYMENT. AND SO, AGAIN, WE  
3 HAVE THIS INTERACTION.

4 ONE QUESTION IS DO WE ALLOW PAYMENT,  
5 APPROPRIATE PAYMENT THAT'S NOT AN UNDUE INDUCEMENT?  
6 IF WE DO ALLOW PAYMENT, THEN THAT'S GOING TO  
7 INTERACT WITH BOTH THE REVIEW AND THE CONSENT.  
8 CLEARLY FOR A LIVER BIOPSY, YOU'RE GOING TO HAVE A  
9 MUCH MORE DETAILED CONSENT ABOUT THE RISKS OF LIVER  
10 BIOPSY. AND I WOULD THINK A LIVER BIOPSY IS GOING  
11 TO BE NOT SCRO NOTIFICATION, BUT IRB REVIEW BECAUSE  
12 THAT'S AN INVASIVE PROCEDURE. SO IT DOESN'T  
13 NECESSARILY SUPERSEDE PROTECTIONS CURRENTLY IN  
14 PLACE, BUT WE SHOULD BE CLEAR THAT NOTIFYING THE  
15 SCRO, BECAUSE THERE ARE NO STEM CELL-SPECIFIC  
16 ETHICAL CONCERNS, DOESN'T WAIVE IRB CONCERNS ABOUT  
17 HUMAN SUBJECTS PROTECTION IN TERMS OF RISK TO THE  
18 DONOR.

19 SO I GUESS THE ONE QUESTION IS THRESHOLD.  
20 ARE WE GOING TO SAY THAT SOME APPROPRIATE  
21 COMPENSATION IS PERMITTED? BECAUSE IF NOT, THAT  
22 WOULD BE A CHANGE FROM WHAT'S TYPICALLY DONE WITH  
23 THESE KINDS OF BIOPSIES. FOLKS ON THE COMMITTEE,  
24 WHAT DO YOU THINK? SOME OF YOU ACTUALLY COLLECT  
25 TISSUE. JAMES WILLERSON IS STILL ON THE PHONE, I



## BARRISTERS' REPORTING SERVICE

1 KNOW WHAT THE PRACTICE YOU USUALLY DO IS. ANYBODY  
2 ON THE PHONE WANT TO COMMENT ON PAYMENT TO DONORS?

3 PROFESSOR ROBERTS: AGAIN, I WONDER, AND  
4 WE DIDN'T REALLY CONCLUSIVELY DECIDE ANYTHING ABOUT  
5 THIS FOR THE PRIOR ISSUES, BUT IF THERE SHOULD BE A  
6 DISTINCTION BETWEEN MATERIAL THAT ALREADY EXISTS  
7 WHERE DONORS WERE PAID THESE MODEST PAYMENTS,  
8 ALTHOUGH IT SEEMS TO BE MORE I'M LEARNING NOW WITH  
9 LIVER BIOPSIES, AND PROSPECTIVELY BECAUSE I DO THINK  
10 WE HAVE TO TAKE INTO ACCOUNT THAT PROPOSITION 71  
11 SAYS THAT THERE SHOULD NOT BE PAYMENT.

12 MS. LANSING: IT'S PROHIBITED.

13 PROFESSOR ROBERTS: PROHIBITED, EXACTLY.  
14 LET'S PUT IT MORE STRONGLY. IT'S PROHIBITED. SO WE  
15 WOULD -- IF WE GO DOWN THIS PATH, WE WOULD BE SAYING  
16 THAT, CONTRARY TO THE PROHIBITION IN PROPOSITION 71,  
17 PROSPECTIVELY DONORS COULD BE -- YOU KNOW, IT'S OKAY  
18 FOR DONORS TO BE PAID EVEN AMOUNTS NOW WE'RE SAYING  
19 UP TO \$500. I THINK WE -- I THINK THAT POSES A  
20 PROBLEM THAT WE NEED TO DISCUSS VERY CAREFULLY. I  
21 DON'T THINK IT'S -- I DON'T THINK IT'S RIGHT TO JUST  
22 CALL IT A NOMINAL PAYMENT THAT DOESN'T POSE ANY  
23 PROBLEM WITH --

24 DR. PETERS: IS IT RELEVANT TO DISTINGUISH  
25 DONOR PAYMENTS WITH OR WITHOUT PROP 71 MONEY SO

## BARRISTERS' REPORTING SERVICE

1 THESE GRANDFATHERED PAID DONORS STILL WOULD NOT BE  
2 PAID WITH PROPOSITION 71 MONEY?

3 CHAIRMAN LO: LET ME ALSO, DOROTHY, ASK  
4 YOU. THERE ARE LEGAL ISSUES IN THAT WE ARE BOUND BY  
5 PROP 71. I GUESS THE OTHER ISSUES ARE ETHICAL  
6 ISSUES. ARE THERE ETHICAL CONCERNS EVEN IN THE  
7 ABSENCE OF PROP 71 YOU WOULD HAVE ABOUT PAYING  
8 SOMATIC CELL DONORS FOR THEIR TISSUE?

9 PROFESSOR ROBERTS: WELL, I THINK EVEN  
10 THOUGH IT DOESN'T RISE PERHAPS TO THE LEVEL OF  
11 CONCERN OR THE SAME KIND OF CONCERN AS WITH GAMETE  
12 DONORS, THERE STILL IS, AGAIN, ESPECIALLY IF WE'RE  
13 TALKING -- EVEN WITH PAYMENTS OF \$50, BUT CERTAINLY  
14 WITH 300 AND \$500, THAT IS AN INDUCEMENT FOR SOMEONE  
15 WHO IS IN DESPERATE NEED OF MONEY TO DONATE THEIR  
16 TISSUE. SO, AGAIN, I THINK IT DOES RAISE THE SAME  
17 KIND OF ETHICAL CONCERN EVEN THOUGH IT'S AT A  
18 DIFFERENT LEVEL THAN WITH EGG DONATION.

19 DR. KIESSLING: BERNIE, CAN SOMEBODY  
20 CLARIFY FOR ME? DOES PROP 71 SPECIFICALLY TALK  
21 ABOUT ANY KIND OF DONATION?

22 CHAIRMAN LO: LET ME -- I JUST LOOKED UP  
23 PROP 71. AND IT SAYS THE ICOC SHALL ESTABLISH  
24 STANDARDS AS FOLLOWS: NO. 3, PROHIBITION OF  
25 COMPENSATION. STANDARDS PROHIBITING COMPENSATION TO

## BARRISTERS' REPORTING SERVICE

1 RESEARCH DONORS OR PARTICIPANTS WHILE PERMITTING  
2 REIMBURSEMENT OF EXPENSES. SO IT DOESN'T SAY OOCYTE  
3 DONORS OR EMBRYONIC DONORS. IT SAYS RESEARCH  
4 DONORS.

5 PROFESSOR ROBERTS: YEAH. THAT WAS MY  
6 UNDERSTANDING. IT COVERS ANY KIND OF DONATION IN  
7 CONNECTION WITH THIS RESEARCH EXCEPT -- AND THEN  
8 THERE'S THE ISSUE OF NON-CIRM MONEY BEING PAID.  
9 AGAIN, THAT SAME BASIC QUESTION I WAS RAISING BEFORE  
10 OF ARE WE TALKING ABOUT TISSUE THAT ALREADY EXISTS  
11 OR PERHAPS WILL BE CREATED FOR OTHER KINDS OF  
12 RESEARCH, OR TO TISSUE THAT IS PAID FOR WITH CIRM  
13 MONEY PROSPECTIVELY? I THINK AT THIS POINT THE  
14 MATERIALS WE RECEIVED DIDN'T MAKE THAT DISTINCTION.

15 DR. LOMAX: THAT'S CORRECT. ACTUALLY  
16 THAT'S AN EXCELLENT DISTINCTION. I'LL TAKE FULL  
17 CREDIT FOR THAT OVERSIGHT. AND I APPRECIATE THE  
18 COMMENT, AND I THINK WE SHOULD GET AN OPINION THERE.

19 THE MATERIALS WERE INTENDED TO ADDRESS,  
20 JUST LIKE THE PREVIOUS SESSION, THE PROCEDURES  
21 RELATED TO EXISTING BANKING EFFORTS. SO IT WASN'T  
22 CONTEMPLATED THAT IT WAS CIRM FUNDS. BUT  
23 NONETHELESS, IT'S AN EXCELLENT QUESTION. I THINK WE  
24 SHOULD, YOU KNOW, GET AN OPINION ON THAT.

25 BUT TO CLARIFY KIND OF THE GENESIS OF THE

## BARRISTERS' REPORTING SERVICE

1 QUESTION, BUT THANK YOU FOR POINTING THAT OUT  
2 BECAUSE IT WAS AN OVERSIGHT, AND MY APOLOGIES ON  
3 THAT FRONT.

4 CHAIRMAN LO: SO IT STRIKES ME THAT  
5 DOROTHY'S VERY HELPFUL DISTINCTION BETWEEN  
6 PROSPECTIVELY COLLECTED RESEARCH MATERIALS AND  
7 ALREADY EXISTING RESEARCH MATERIALS MIGHT BE USEFUL.  
8 IT SEEMS TO ME THAT, AGAIN, I'M NOT A LAWYER OR A  
9 LAW PROFESSOR, BUT THE CLEAR LANGUAGE OF THE PROP 71  
10 SEEMS TO SAY TO ME YOU CAN'T PAY COMPENSATION TO  
11 RESEARCH DONORS BEYOND EXPENSES. AND I THINK THAT  
12 IT WOULD BE HARD TO SORT OF ARGUE THAT GOING  
13 FORWARD.

14 I THINK WE MAY MAKE THE ARGUMENT THAT IF  
15 THEY'VE ALREADY BEEN PAID IN THE PAST AND THE  
16 MATERIAL IS ALREADY EXISTING, AS WE'VE DONE WITH IVF  
17 OOCYTES THAT WERE PAID FOR AND ARE NOW FROZEN AND  
18 OTHERWISE TO BE DESTROYED, THAT THERE'S NO WAY THAT  
19 ALLOWING THOSE TO BE USED FOR RESEARCH IN ANY WAY  
20 WAS AN UNDUE INFLUENCE ON THE ORIGINAL DECISION OR  
21 HAD PEOPLE TAKING ON RISKS THEY OTHERWISE WOULDN'T  
22 HAVE TAKEN ON.

23 SO I THINK IT'S ONE THING TO SAY WE'LL  
24 GRANDPARENT IN EXISTING MATERIALS AS AN EXCEPTION TO  
25 THE PROHIBITION ON CONSENT. THAT MAY BE VERY

## BARRISTERS' REPORTING SERVICE

1 DIFFERENT THAN SAYING GOING FORWARD WE'RE GOING TO  
2 ALLOW PAYMENTS. NOBODY EVEN SAID \$5 NOMINAL FOR A  
3 SKIN BIOPSY IS A LOT DIFFERENT THAN \$500 FOR A LIVER  
4 BIOPSY, WHICH IS MUCH RISKIER.

5 GEOFF, I DON'T KNOW IF IT MAKES SENSE TO  
6 SAY THAT THE DOLLAR ISSUE SHOULD BE AN EXEMPTION  
7 ONLY FOR -- EXEMPTION FROM THE PROHIBITION OF  
8 PAYMENT ONLY FOR EXISTING MATERIALS IN EXISTENCE AT  
9 THE TIME THE RESEARCH WAS BEING PROPOSED AS OPPOSED  
10 TO PROSPECTIVELY COLLECTING NEW RESEARCH MATERIALS.  
11 THIS WOULD RAISE PROBLEMS WITH THE LIVER BIOPSY.

12 DR. TAYLOR: WHY CAN'T WE JUST GO BACK TO  
13 THE GRANDFATHERING CLAUSE THAT GEOFF KIND OF  
14 REPORTED AT THE BEGINNING? IT SEEMS TO ME THAT  
15 THERE'S A POINT IN TIME, AND WE DON'T REALLY HAVE TO  
16 PUT A DOLLAR AMOUNT AT ALL. WE CAN SAY -- I HEAR  
17 WHERE THE CONVERSATION IS GOING TO GO BECAUSE REALLY  
18 FROZEN LIVER CELLS DON'T REALLY GROW OUT THE WAY YOU  
19 CAN GET LEUKOCYTES TO GROW. SO SOME OF THE SAMPLES  
20 THAT WERE COLLECTED IN THE PAST MIGHT NOT BE  
21 PARTICULARLY HELPFUL.

22 BUT I DO THINK THAT WE'RE IN TROUBLE AS  
23 WE'VE BEEN ALL ALONG KIND OF GOING FORWARD GIVEN THE  
24 WAY THE LAW WAS WRITTEN, BUT IT SEEMS TO ME THAT THE  
25 GRANDFATHER CLAUSE THAT YOU ALREADY HAVE IN PLACE

## BARRISTERS' REPORTING SERVICE

1 MIGHT COVER THIS.

2 CHAIRMAN LO: I THINK THAT'S RIGHT.  
3 GRANDFATHERING IS CONCEPTUALLY DIFFERENT THAN  
4 PROSPECTIVELY COLLECTING MATERIALS. WITH  
5 PROSPECTIVELY COLLECTED MATERIALS, IT STRIKES ME,  
6 THERE AGAIN, WE MAY WANT TO DISTINGUISH DIFFERENT  
7 CIRCUMSTANCES. ONE IS THAT CIRM ACTUALLY IS -- A  
8 CIRM-FUNDED RESEARCHER IS ACTUALLY CARRYING OUT THE  
9 RESEARCH TO DERIVE, CHARACTERIZE THESE IPS CELLS,  
10 AND TO CREATE A SPECIALIZED LINEAGE.

11 THE OTHER ISSUE IS IS A CIRM RESEARCHER  
12 GOING TO BE ALLOWED TO USE LINES THAT SOMEONE ELSE  
13 DERIVED WITHOUT CIRM FUNDING, BUT WAS DONE UNDER  
14 OTHER AUSPICES THAT PERMIT THE LIVER DONOR, BIOPSY  
15 DONOR, TO BE PAID IN ACCORDANCE WITH IRB APPROVAL,  
16 AND NOW CIRM RESEARCHER SAYING THIS IS THE BEST LINE  
17 OUT THERE. THIS REALLY IS A TERRIFIC LINE. I'D  
18 LIKE TO USE IT. THERE'S NO CIRM MONEY INVOLVED, BUT  
19 IS IT AN ACCEPTABLY DERIVED LINE, GEOFF, IN OUR  
20 STANDARDS? THAT STRIKES ME AS YET ANOTHER SITUATION  
21 WE'RE GOING TO HAVE TO THINK ABOUT.

22 I WOULD SUGGEST RIGHT NOW ARE WE AGREED,  
23 IS THERE FEELING FOR ALLOWING GRANDFATHERING OF  
24 PAYMENT APPROVED BY AN IRB FOR MATERIALS ALREADY IN  
25 EXISTENCE AT THE TIME THE IPS RESEARCH IS BEING

**BARRISTERS' REPORTING SERVICE**

1 CONTEMPLATED? SO IT'S A GRANDFATHERING CLAUSE, AND  
2 THE RATIONALE FOR IT WOULD BE THE DONATION AND THE  
3 RISK HAVE ALREADY TAKEN PLACE, THERE CAN BE NO UNDUE  
4 INDUCEMENT TO SOMETHING THAT'S ALREADY HAPPENED IN  
5 TIME.

6 DR. LOMAX: TO JUST SORT OF INTERJECT,  
7 WITH ALL DUE RESPECT, IF THE DETERMINATION, THOUGH,  
8 IS IS IT ANY PAYMENT WHATSOEVER OR PAYMENT WITH CIRM  
9 FUNDS, UNTIL WE RESOLVE -- THAT QUESTION SEEMS, AND  
10 PROFESSOR ROBERTS, I'M DEFERRING TO YOU HERE FOR  
11 JUDGMENT, WOULDN'T THAT QUESTION SORT OF TRUMP, IF  
12 YOU WILL, ANY SORT OF TEMPORAL ASPECT OF WHEN THE  
13 LINES WERE ACQUIRED? I'M JUST TRYING TO THINK SORT  
14 OF IN TERMS OF ORDER OF OPERATION BECAUSE WE MAY NOT  
15 BE ABLE TO RESOLVE THIS TODAY IF THAT'S THE  
16 OVERARCHING QUESTION.

17 MS. LANSING: I THOUGHT IF THE LINES,  
18 MAYBE I'M MISUNDERSTANDING THIS AND CONFUSING THIS  
19 AS A LAYPERSON, BUT SOMETHING WAS DONE BEFORE THE  
20 PROPOSITION WAS PASSED, THEN THEY WERE SAFE. WE'RE  
21 ALREADY USING THOSE, AREN'T WE?

22 DR. TAYLOR: THAT'S THE RIGHT  
23 INTERPRETATION, I THINK.

24 PROFESSOR ROBERTS: YEAH.

25 MS. LANSING: NOBODY COULD HAVE BEEN BE

## BARRISTERS' REPORTING SERVICE

1 DOING IT FOR MONEY BECAUSE THERE WAS NO PROPOSITION  
2 THEN.

3 DR. PRIETO: WE COULDN'T VERY WELL IMPOSE  
4 OUR STANDARDS ON PEOPLE WHO WERE DOING SOMETHING  
5 BEFORE WE EXISTED.

6 MS. LANSING: SO THAT'S OKAY BECAUSE  
7 NOBODY COULD HAVE BEEN EXPLOITED.

8 PROFESSOR ROBERTS: RIGHT. I THINK I  
9 AGREE. THAT'S MY INTERPRETATION AS WELL. BUT WAS  
10 THERE ANOTHER QUESTION ABOUT, EVEN IF THAT'S TRUE,  
11 IF THAT'S STILL --

12 DR. LOMAX: IT WAS A QUESTION THAT TED  
13 PETERS RAISED ABOUT IS THERE A DISTINCTION BETWEEN  
14 THE PAYMENT SOURCE, IF YOU WILL, CIRM FUNDS VERSUS  
15 FUNDS UNRELATED.

16 PROFESSOR ROBERTS: I THINK THAT QUESTION  
17 THEN IS RAISED BY RESEARCH -- BY USING CELLS THAT  
18 WERE COLLECTED AFTER THE REGULATION BECAUSE THEN THE  
19 QUESTION IS DOES IT MATTER IF CIRM MONEY WAS USED TO  
20 PAY FOR THE MATERIAL THAT WAS USED IN THE RESEARCH.

21 DR. PRIETO: QUESTION. WOULDN'T THIS, I  
22 DON'T KNOW, EVEN INADVERTENTLY SET UP A SITUATION  
23 WHERE WE WOULD PERHAPS INDUCE RESEARCHERS TO  
24 COMPARTMENTALIZE CERTAIN ACTIVITIES JUST TO GET  
25 AROUND THAT KIND OF RESTRICTION?



## BARRISTERS' REPORTING SERVICE

1 PROFESSOR ROBERTS: YES. I AGREE. I  
2 THINK IT'S --

3 DR. PRIETO: SO THAT DOESN'T ANSWER ANY  
4 ETHICAL CONCERNS IF THEY DO THAT.

5 DR. TAYLOR: I DON'T THINK THE PROP 71 LAW  
6 SAYS ANYTHING ABOUT THEY CAN'T BE PAID WITH CIRM  
7 MONEY. THEY SAY THEY CAN'T BE PAID.

8 PROFESSOR ROBERTS: EXACTLY. I AGREE. I  
9 WASN'T ENDORSING THAT DISTINCTION. I WAS JUST  
10 INTERPRETING THE DISTINCTION, BUT I THINK -- OR  
11 RESTATING IT. TO ME IT'S THE PAYMENT OF MONEY FOR  
12 THE DONATION IS THE ISSUE, WHETHER IT'S WITH CIRM  
13 MONEY OR NOT, BUT A CIRM-FUNDED RESEARCHER USING  
14 MATERIAL THAT WAS PAID FOR IS THE PROBLEM.

15 MS. LANSING: BUT NOT BEFORE THE BILL WAS  
16 PASSED.

17 PROFESSOR ROBERTS: YES. YES. I THINK --  
18 MY SENSE IS WE'RE AGREEING.

19 DR. PRIETO: I THINK WE AGREE.

20 PROFESSOR ROBERTS: I AGREE WITH THAT AS  
21 WELL. I'M REALLY CONCERNED ABOUT THIS PROSPECTIVE.

22 DR. TROUNSON: ONE THING THAT'S GOING TO  
23 HAPPEN, OF COURSE, AS FAR AS I CAN SEE, IS THAT YOU  
24 REALLY WON'T HAVE DONORS COMING IN TO DONATE LIVER  
25 TISSUE BECAUSE, YOU KNOW, WHO WOULD DO IT. IT'S THE

## BARRISTERS' REPORTING SERVICE

1 SAME CATEGORY AS DONATING EGGS WITHOUT ANY  
2 COMPENSATION WHEN ONE WANTS TO DO IT.

3 WHAT I THINK IS A BIT OF A CONCERN IS THAT  
4 WHAT WILL HAPPEN IS THAT THE PATIENTS WILL DO IT.  
5 YOU KNOW, YOU'LL ACTUALLY ENCOURAGE PEOPLE WHO'VE  
6 GOT DISEASES WHO ARE PROBABLY -- WHO COULD EVEN BE  
7 QUITE SICK TO COME IN AND DONATE THE MATERIAL  
8 BECAUSE IN THE INTEREST OF GETTING THE FIELD  
9 WORKING. SO I THINK WE JUST NEED TO BE THOUGHTFUL  
10 ABOUT THAT. IF THAT IS THE PRACTICAL OUTCOME, IT  
11 MIGHT BE SOMETHING THAT WE COULD REGRET.

12 PROFESSOR ROBERTS: BUT THAT ISSUE WAS  
13 DECIDED BY THE VOTERS OF CALIFORNIA WHEN THEY VOTED  
14 FOR PROP 71 WITH THIS RESTRICTION IN IT.

15 MR. SHEEHY: ONE THING TO PUT INTO  
16 PERSPECTIVE ABOUT PROP 71 IS THAT NO ONE IMAGINED  
17 IPS, SO I DON'T THINK ANYONE REALLY IMAGINED THAT  
18 SOMATIC CELLS WERE AT STAKE. SO PEOPLE WERE  
19 THINKING OF PRIMARILY OOCYTES, I THINK, WAS THE  
20 CONSIDERATION IF YOU ARE LOOKING AT LEGISLATIVE  
21 INTENT AND WHAT PEOPLE THOUGHT THEY WERE VOTING FOR.

22 CAN I JUST GET AS A BACKGROUND, AND I  
23 THINK -- ISN'T THERE ALREADY -- WHAT IS THE STATE,  
24 NOT TALKING ABOUT IPS, NOT TALKING ABOUT STEM CELL  
25 RESEARCH, WHAT TYPES OF MATERIALS -- WHAT IS THE

## BARRISTERS' REPORTING SERVICE

1 STATE OF RESEARCH NOW? ARE THERE CERTAIN MATERIALS  
2 THAT PEOPLE ARE GENERALLY PAID TO DONATE FOR  
3 RESEARCH OF WHICH NO ONE HAS ANY SPECIFIC CONCERNS  
4 AND THAT THESE ARE KIND OF DONE THROUGH THE SOME  
5 SORT OF ROUTINE IRB REGULATORY PROCESS? I JUST  
6 DON'T KNOW WHAT HAPPENS. JUST FORGET ABOUT STEM  
7 CELL RESEARCH. ARE PEOPLE DONATING SOMATIC CELLS  
8 FOR PAYMENT IN OTHER CONTEXTS?

9 CHAIRMAN LO: ABSOLUTELY. EVEN LIVER  
10 CELLS. THERE'S RESEARCH GOING ON WHERE PEOPLE  
11 DONATE -- WELL, DONATE MAY NOT BE THE RIGHT WORD  
12 BECAUSE THEY'RE OFTEN COMPENSATED. BUT SKIN CELLS,  
13 BUT LIVER CELLS, BONE MARROW BIOPSIES, ALL KINDS OF  
14 CELLS, AND TYPICALLY WHAT SCIENTISTS HAVE FOUND IS  
15 THAT WITHOUT SOME SORT OF COMPENSATION, YOU JUST  
16 DON'T GET PEOPLE DONATING. AND THIS IS ALL OVERSEEN  
17 BY THE IRB. SO THE IRB REVIEWS THE PROTOCOL, IT'S A  
18 FULL PROTOCOL REVIEW, AND THEY HAVE TO REVIEW IT  
19 WITH THE VIEW OF WHETHER THE AMOUNT OF PAYMENT IS AN  
20 UNDUE INFLUENCE.

21 THERE ALSO, BY THE WAY, IS A SCHOOL OF  
22 THOUGHT THAT IF YOU DON'T PAY PEOPLE WHO UNDERGO  
23 RISKS FOR RESEARCH, YOU'RE TAKING ADVANTAGE OF THEM  
24 BECAUSE THEY'RE UNDERGOING THE RISKS. THEY OFTEN DO  
25 NOT -- IF THERE'S A COMPLICATION, UNLIKE WHAT WE PUT

## BARRISTERS' REPORTING SERVICE

1 IN PLACE FOR OOCYTE DONORS, THERE'S NO GUARANTEE IN  
2 ALMOST ALL INSTITUTIONS THAT CARE WILL BE COVERED  
3 WITH NO COST -- CARE FOR DIRECT AND PROXIMATE  
4 COMPLICATIONS OF THE RESEARCH PROCEDURE IS NOT  
5 NECESSARILY COVERED AT NO COST TO THE PATIENT.

6 SO THERE ARE CONCERNS ABOUT PAYING TOO  
7 LITTLE AS WELL AS PAYING TOO MUCH. MY SENSE IS THAT  
8 THIS IS NOT SOMETHING WE SHOULD TRY AND DECIDE  
9 TODAY. WE SHOULD PROBABLY CARVE OUT A NARROW  
10 EXCEPTION. WE SHOULD HIGHLIGHT THIS, PARTICULARLY  
11 THE LIVER BIOPSY ISSUE BEING A PROBLEM, AND LOOK AT  
12 THE SCIENCE, THE ETHICS, AND THE LAW BECAUSE I THINK  
13 IT MAY WELL BE THAT THE CONCERNS THAT, I AGREE WITH  
14 JEFF SHEEHY, THAT THE UNDERLYING CONCERNS THAT DROVE  
15 PROP 71 WAS THE IDEA OF PAYING PEOPLE FOR  
16 REPRODUCTIVE MATERIALS.

17 IT WASN'T JUST THE NOTION OF UNDUE  
18 INDUCEMENT TO HAVE WOMEN UNDERGO UNACCEPTABLE RISKS  
19 BECAUSE OF THE MONEY, BUT ALSO THERE WAS A NOTION  
20 THAT YOU SHOULDN'T HAVE COMMERCIAL TRANSACTIONS  
21 INVOLVING REPRODUCTIVE MATERIALS BECAUSE SOMEHOW  
22 THAT WAS COMMODIFYING SOMETHING THAT SHOULDN'T BE  
23 COMMODIFIED.

24 NOW, WE CAN ARGUE ABOUT WHETHER THAT'S AN  
25 APPROPRIATE PHILOSOPHICAL POSITION, BUT I THINK THAT

## BARRISTERS' REPORTING SERVICE

1 WAS PART OF WHAT DROVE THIS. I'M NOT SURE THERE ARE  
2 CONCERNS ABOUT COMMODIFYING SKIN CELLS AND LIVER  
3 CELLS. I THINK THE ISSUE'S REALLY SORT OF A PAYMENT  
4 TO RESEARCH SUBJECTS, IS THAT AN UNDUE INDUCEMENT?  
5 BUT THAT RUNS THROUGHOUT ALL RESEARCH. SO I WOULD  
6 SUGGEST WE NOT TRY AND SOLVE THIS, BUT JUST TODAY  
7 SEE IF WE AGREE ON THE GRANDFATHERING CLAUSE.

8 AND LET ME JUST POINT OUT THAT WE HAD A  
9 DIFFERENT CRITERIA FOR THE CUTOFF DATE WHEN THE ICOC  
10 ACTUALLY GRANDFATHERED IN EMBRYOS CREATED IN AN IVF  
11 CONTEXT, NOW FROZEN AND DONATED TO RESEARCH, AS AN  
12 ALTERNATIVE TO BEING THAWED AND DESTROYED. WE SET  
13 THE CUTOFF DATE, NOT THE PASSAGE OF PROP 71, BUT THE  
14 CUTOFF DATE FOR THE ACTION OF THE ICOC.

15 SO DOROTHY MAY WANT TO COMMENT ON THIS AS  
16 A LEGAL EXPERT, LEGAL SCHOLAR. OBVIOUSLY WE HAVE  
17 COUNSEL HERE WE HAVE TO CONSULT, BUT THERE'S THAT  
18 TIME PERIOD BETWEEN THE PASSAGE OF PROP 71 AND WHERE  
19 WE'RE ALLOWING FROZEN EMBRYOS WITH PAID OOCYTES IN  
20 IVF TO BE USED AS GRANDFATHERED IN. WHETHER LEGALLY  
21 AS A MATTER OF LAW POLICY THAT'S ACCEPTABLE OR NOT  
22 IS SOMETHING WE WOULD CERTAINLY WELCOME YOUR  
23 THOUGHTS ON. IT HASN'T COME UP BEFORE TODAY.

24 PROFESSOR ROBERTS: WELL, BUT THAT'S  
25 ALREADY HAPPENED, RIGHT? THAT'S --

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: ICOC ACTED BACK IN AUGUST,  
2 WAS IT?

3 DR. LOMAX: CORRECT. BUT WE ARE  
4 REVISITING THAT TODAY.

5 PROFESSOR ROBERTS: THAT'S TRUE, BUT NOT  
6 THAT DATE, ARE WE?

7 CHAIRMAN LO: WELL, THAT'S COMING UP NEXT.  
8 ARE WE GLAD YOU'RE HERE.

9 PROFESSOR ROBERTS: I'LL DO MY BEST.

10 DR. KIESSLING: THE *NEW ENGLAND JOURNAL*  
11 HAD AN ARTICLE TWO OR THREE YEARS AGO THAT REVIEWED  
12 ALL OF THE MODELS FOR COMPENSATING HUMAN SUBJECTS  
13 FOR RESEARCH. AND SO IT MIGHT BE REALLY HELPFUL IF  
14 THAT WERE DISTRIBUTED TO THE COMMITTEE JUST SO THAT  
15 THEY CAN SEE THE THINKING THAT'S GONE INTO THIS IN  
16 THE PAST.

17 CHAIRMAN LO: THERE'S AN EXTENSIVE  
18 LITERATURE ON THAT. ACTUALLY IT'S CHRISTINE GRADY  
19 AND COLLEAGUES AT NIH. SINCE THEN, THEY'VE DONE  
20 FURTHER WORK ON THAT. I CAN SORT OF GET THEIR  
21 LATEST THINKING. THIS IS SOMETHING THAT HAS  
22 PERPLEXED SCIENTISTS, IRB'S, AND ETHICISTS WORKING  
23 IN THE RESEARCH AREA, SORT OF WHAT AMOUNT OF PAYMENT  
24 IS THE APPROPRIATE AMOUNT TO PAY A RESEARCH SUBJECT  
25 WHO UNDERGOES RISKS IN ORDER TO BENEFIT SCIENCE AND

**BARRISTERS' REPORTING SERVICE**

1 SOCIETY. IT'S A TOUGH ISSUE. YOU'RE RIGHT. SO WE  
2 CAN CERTAINLY GET THOSE ARTICLES.

3 DR. PRIETO: I WOULD CERTAINLY APPRECIATE  
4 THOSE.

5 PROFESSOR ROBERTS: IS THERE ANYTHING THAT  
6 COULD HELP US ON THIS PROBLEM OF THE LANGUAGE OF  
7 PROPOSITION 71? I REALLY UNDERSTAND THE ARGUMENT,  
8 THAT THE VOTERS AND THE DRAFTERS WEREN'T THINKING OF  
9 SOMATIC CELLS AT THE TIME, BUT THE LANGUAGE COVERS  
10 SOMATIC CELL, IT COVERS ALL DONATIONS.

11 CHAIRMAN LO: IT'S BROAD LANGUAGE,  
12 ABSOLUTELY.

13 DR. TAYLOR: I WOULD ARGUE THAT ONCE WE  
14 GET THAT THROUGH, THEN I WOULD THINK IT'S COMPLETELY  
15 UNETHICAL NOT TO OFFER WOMEN \$500 OR WHATEVER WE SET  
16 AS OUR MAXIMUM FOR THE RISK OF UNDERGOING OOCYTE  
17 DONATION.

18 PROFESSOR ROBERTS: SO THAT'S ANOTHER  
19 ISSUE, THAT EVEN IF WE THOUGHT THAT IT WAS OKAY TO  
20 ALLOW FOR THIS, FOR PAYMENT FOR SOMATIC CELL  
21 DONATION, BECAUSE THAT'S NOT WHAT PROPOSITION 71  
22 REALLY REFERS TO, IT'S GOING TO HAVE AN IMPACT ON  
23 WHAT WE KNOW PROPOSITION 71 REALLY REFERS TO, OOCYTE  
24 DONATION. SO --

25 DR. TAYLOR: EXACTLY. IF I CAN MAKE A

## BARRISTERS' REPORTING SERVICE

1 PLEA, BERNIE, EVERY OTHER MEETING WE GO THROUGH THIS  
2 THING OVER AND OVER AGAIN. I AM PERSONALLY IN FAVOR  
3 OF PAYING EVERYBODY. I ALSO KIND OF GET NERVOUS NOT  
4 BEING A LEGAL PERSON. WHEN SOMETHING IS WRITTEN AS  
5 LAW, I TEND TO TAKE IT RELATIVELY SERIOUSLY.

6 PROFESSOR ROBERTS: THANK YOU. SO DO I.  
7 I THINK IT'S A REAL -- I DON'T THINK WE CAN JUST SAY  
8 THAT'S NOT WHAT PROPOSITION 71 MEANT.

9 DR. TAYLOR: CAN'T SOMEBODY ACTUALLY JUST  
10 KIND OF GO AFTER THE LAW, NOT OUR COMMITTEE, BUT  
11 SOMEBODY WHO REALLY DOES THAT SORT OF THING AND JUST  
12 TRY TO CHANGE IT AND MAKE IT REASONABLE BECAUSE IT  
13 IS UNREASONABLE, BUT I DON'T KNOW HOW WE'RE GOING TO  
14 GET AROUND IT.

15 DR. TROUNSON: BERNIE, THERE'S A BIG  
16 PROBLEM IN CHANGING, YOU KNOW, THOSE RULES BECAUSE  
17 IT IS IN PROPOSITION 71. IT TAKES TWO-THIRDS VOTE  
18 FROM BOTH HOUSES TO DO THAT. IT'S MOST UNLIKELY  
19 THAT YOU WOULD EVER GET THAT TO HAPPEN.

20 I THINK THE BROAD STRUCTURE OF THE WAY  
21 IT'S WRITTEN WOULDN'T ALLOW THE AGENCY TO IN ANY WAY  
22 COMPENSATE PATIENTS FOR ANY DONATED MATERIAL BECAUSE  
23 THE LEGAL SITUATION IS PRETTY CLEAR, THAT IT'S NOT  
24 CONFINED, DESPITE WHAT GEOFF SAID, IT'S NOT CONFINED  
25 TO SIMPLY OOCYTES OR EVEN EMBRYOS. WE HAVE A BIG



## BARRISTERS' REPORTING SERVICE

1 PROBLEM ABOUT CHANGING THAT LAW, AND I THINK IT'S  
2 MOST UNLIKELY THAT IT'S GOING TO HAPPEN.

3 DR. TAYLOR: WILL WE BE ABLE TO COME UP  
4 WITH GUIDELINES OURSELVES, THOUGH, THAT WILL GET  
5 AROUND IT?

6 PROFESSOR ROBERTS: I WOULD NOT ADVISE  
7 THAT.

8 MS. LANSING: THAT WOULD BE TERRIBLE  
9 GUIDANCE.

10 PROFESSOR ROBERTS: I THINK WE HAVE TO  
11 ABIDE BY WHAT THE PROPOSITION SAYS, WHICH IS NO  
12 PAYMENT FOR DONATION.

13 CHAIRMAN LO: IF THAT'S THE CASE AND  
14 THAT'S WHERE WE ARE NOW, DOROTHY, I'LL CONTACT YOU  
15 OFF LINE AND ASK YOU TO HELP US THINK THIS THROUGH  
16 AT THE NEXT MEETING. THEN WE HAVE A REAL PROBLEM IN  
17 THAT IF IT, IN FACT, IS THE CASE THAT OUR SCIENTIFIC  
18 ADVANTAGES TO TRYING TO REPROGRAM LIVER CELLS OR  
19 OTHER CELLS THAT ONE HAS TO OBTAIN WITH A MORE  
20 INVASIVE PROCEDURE THAN A SKIN BIOPSY, AND WE WANT  
21 CIRM SCIENTISTS TO BE ABLE TO USE THOSE CELLS  
22 BECAUSE OF THE SCIENTIFIC VALUE, THEN ARE WE GOING  
23 TO PERMIT CIRM-FUNDED RESEARCHERS TO USE THOSE CELLS  
24 THAT SOMEONE ELSE PAID THE LIVER DONOR FOR? THAT'S  
25 ONE QUESTION.

## BARRISTERS' REPORTING SERVICE

1 IF THE CELLS -- IF SOMEONE DID IT TOTALLY  
2 INDEPENDENTLY AND NOW A CIRM RESEARCHER WANTS TO USE  
3 IT, THERE'S NO CONNECTION BEFORE THE DERIVATION OF  
4 CELLS. OR ALTERNATIVELY I CAN IMAGINE A CIRM  
5 RESEARCHER SAYING, LOOK, I WANT TO DO THIS. I CAN'T  
6 DO IT WITH CIRM FUNDS, BUT I'M GOING TO SORT OF GET  
7 OUTSIDE FUNDS FROM WHATEVER SOURCE TO PAY LIVER  
8 DONORS TO GET THE TISSUE TO DERIVE THE CELL LINE,  
9 AND THEN APPLY FOR CIRM FUNDS TO, IN A SENSE,  
10 ALTHOUGH IT'S NOT USING CIRM FUNDING, IT'S REALLY  
11 PART AND PARCEL OF THE CIRM RESEARCH GRANT AND MAY  
12 BE ACTUALLY PART OF THE DISEASE TEAM HYPOTHETICALLY.

13 SO I THINK WE NEED TO THINK THROUGH EVEN  
14 IF WE DON'T PAY, WHAT CONDITIONS, IF ANY, ARE WE  
15 GOING TO SET ON THE USE OF CIRM RESEARCHERS USING  
16 LINES DERIVED BY OTHERS WHERE THEY PAID DONORS.

17 DR. PRIETO: MAYBE YOU ADDRESSED THIS  
18 EARLIER AND I JUST DIDN'T HEAR, BUT WHERE ARE OTHER  
19 BODIES LIKE THE NATIONAL ACADEMIES AND, FOR THAT  
20 MATTER, INTERNATIONAL BODIES COMING DOWN ON THIS  
21 ISSUE?

22 CHAIRMAN LO: WELL, NATIONAL ACADEMY,  
23 AGAIN, THEY TOOK A MUCH NARROWER APPROACH. THEIR  
24 GUIDELINES OPPOSE PAYMENT FOR OOCYTES. THEY DID NOT  
25 HAVE AS SWEEPING A BAN ON RESEARCH DONORS OR

## BARRISTERS' REPORTING SERVICE

1 PARTICIPANTS AS WE HAVE. SO THEY DON'T HAVE A  
2 PROBLEM. THEY CARVED OUT OOCYTES AS BEING A  
3 SPECIAL -- NOW, THERE ARE OTHER ISSUES WITH EQUITY  
4 BETWEEN LIVER DONORS AND OOCYTE DONORS, SO THAT'S  
5 NOT AN ISSUE ELSEWHERE. IT'S SOMETHING THAT WE HAVE  
6 BECAUSE OF PROP 71. SO, YEAH, IT'S A PROBLEM.

7 MR. SHEEHY: THIS MAY BE A QUESTION FOR  
8 THE LAWYERS. BUT HOW WOULD THIS BE IMPACTED IF THE  
9 DONATION WAS PAID WITH FEDERAL DOLLARS? WHAT KIND  
10 OF -- FRANKLY, WE HAVE A BETTER CHANCE OF GETTING  
11 FEDERAL PREEMPTION. IF WE COULD SUCCEED IN THE  
12 LEGISLATURE, WE'D HAVE A BUDGET. THE SAME PEOPLE  
13 WHO ARE STONEWALLING, NO NEW TAXES ALSO SAID THE  
14 SAME SORT OF --

15 DR. PRIETO: THEY'RE NOT GOING TO COME ON  
16 BOARD.

17 MR. SHEEHY: THEY'RE NOT GOING TO COME ON  
18 BOARD. BUT, YOU KNOW, DOES SOMETHING CHANGE WHEN IT  
19 BECOMES -- IF THIS IS FEDERALIZED GIVEN THAT MANY  
20 THINGS, WHAT HAPPENS AT A STATE LEVEL IS PREEMPTED  
21 BY FEDERAL ACTION. IF SOMEONE WAS FUNDED BY THE  
22 NIH, FOR INSTANCE, TO OBTAIN THESE CELLS  
23 COMPENSATED, HOW WOULD THAT -- DO WE HAVE ANY SENSE  
24 OF WHETHER THAT WOULD MATERIALLY CHANGE THIS?

25 CHAIRMAN LO: I WOULD SUGGEST THAT WE TRY

## BARRISTERS' REPORTING SERVICE

1 AND SEPARATE OUT THE ETHICS FROM THE POLITICS. I  
2 THINK IT WOULD BE VERY USEFUL, I THINK, FOR US TO  
3 DECIDE AND GIVE A RATIONALE FOR WHY WE THINK IT IS  
4 APPROPRIATE, FOR EXAMPLE, LIVER BIOPSY DONORS TO BE  
5 PAID A REASONABLE AMOUNT THAT'S NOT AN UNDUE  
6 INFLUENCE. AND THEN NOTE THAT THERE'S A CONFLICT  
7 BETWEEN THAT AND PROP 71, AND THEN SORT OF SAY,  
8 OKAY, HOW CAN THAT BE ADDRESSED?

9 I WOULD NOT START BY SAYING WE WANT TO DO  
10 A WORKAROUND WITHOUT HAVING ESTABLISHED WHY WE THINK  
11 IT'S ETHICALLY APPROPRIATE TO PAY LIVER DONORS OR  
12 OTHER DONORS IN THAT SITUATION. ONCE THAT FOLLOWS,  
13 THEN I THINK IT'S MORE OF A TECHNICAL ISSUE OF  
14 WHETHER THE LANGUAGE ALLOWS US TO USE LINES DERIVED  
15 BUT FROM PAYMENT THAT DOESN'T HAVE A FIRM CHECK  
16 BEHIND IT. I WOULD HOPE WE COULD ESTABLISH AND GET  
17 WIDE ACCEPTANCE ON THE RATIONALE FOR ALLOWING THESE  
18 PAYMENTS RATHER THAN JUST SAY WE'RE GOING TO LOOK  
19 FOR AN EXCEPTION.

20 MR. SHEEHY: CAN I ASK WHAT NOVEL ISSUES  
21 DO WE HAVE TO CONSIDER THAT MAKES THIS DIFFERENT  
22 FROM ACCEPTED PRACTICE OF PAYING FOR THESE DONATIONS  
23 ALREADY? HOW MUCH NEW STUFF -- HOW MUCH NEW -- WHAT  
24 IS DIFFERENT ABOUT USING SOMATIC CELLS TO CREATE  
25 PLURIPOTENT CELLS THAT DISTINGUISHES THAT FROM THESE

## BARRISTERS' REPORTING SERVICE

1 SAME TYPES OF DONATIONS THAT SEEM TO GO ON RATHER  
2 ROUTINELY NOW IN SCIENCE? ISN'T THAT THE REAL CRUX  
3 OF THE DIFFERENCE?

4 CHAIRMAN LO: I THINK PARTLY IT'S DID THEY  
5 CONSENT TO THE SENSITIVE -- SO IT'S THE QUALITY OF  
6 THE CONSENT PROCESS AND THE QUALITY OF THE  
7 OVERSIGHT. WHAT YOU WOULD NOT WANT DONE, I DON'T  
8 THINK, IS PEOPLE GOING TO, PICK A COUNTRY THAT'S IN  
9 ECONOMIC FREEFALL, AND OFFERING, NOT \$500, BUT \$100  
10 TO PEOPLE TO UNDERGO LIVER BIOPSY, AND HAVING A  
11 RELATIVELY VAGUE CONSENT PROCESS AND NOT SORT OF  
12 OUTLINING THE SENSITIVE KINDS OF RESEARCH WE TALKED  
13 ABOUT EARLIER. THERE MIGHT BE -- SO I THINK THAT IF  
14 IT WERE DONE WITH GOOD CONSENT AND GOOD OVERSIGHT  
15 AND REALLY WASN'T AN UNDUE INDUCEMENT AND PEOPLE  
16 REALLY KNEW THE RISKS AND WHAT WAS GOING TO HAPPEN  
17 TO THEIR CELLS, I THINK IT'S NO DIFFERENT THAN ANY  
18 OTHER RESEARCH.

19 SO IT'S REALLY -- FOR THAT IT'S REALLY A  
20 QUESTION OF GOING OFFSHORE TO PLACES WHERE THE  
21 FINANCIAL INDUCEMENTS BECOME MUCH MORE OF A PROBLEM.

22 SO I GUESS THE QUESTION IS SHALL WE JUST  
23 ALLOW IT WITH OTHER FUNDS OR ALLOW IT PROVIDED THAT  
24 THERE'S SOME ASSURANCE THAT THE CONSENT AND THE  
25 OVERSIGHT FOR THE ORIGINAL DERIVATION WERE

## BARRISTERS' REPORTING SERVICE

1 APPROPRIATE. LET ME JUST TELL YOU WHAT WE'VE TRIED  
2 TO DO AT UCSF. IT GETS TO BE A MESS TRYING TO  
3 SECOND-JUDGE A DONATION AND RESEARCH PROJECT CARRIED  
4 OUT IN ANOTHER INSTITUTION AND IN A DIFFERENT  
5 LANGUAGE. IT'S REALLY HARD TO KNOW WHAT HAPPENED.  
6 WE ACTUALLY HAVE SEEN THAT WITH SOME OF THE OLDER  
7 EMBRYONIC STEM CELL LINES THAT THE DEPARTMENT OF  
8 PUBLIC HEALTH STEM CELL RESEARCH GROUP IN CALIFORNIA  
9 IS STRUGGLING WITH KIND OF SOME OF THESE NIH LINES  
10 WHERE THE CONSENT PROCESS TURNS OUT TO BE LESS THAN  
11 WE THOUGHT.

12 BUT MY SUGGESTION WOULD BE WE TRY AND  
13 CARVE OUT A NARROW EXCEPTION TODAY AND REALLY COME  
14 BACK TO THAT AND REALLY GET DOROTHY'S INPUT AND GET  
15 SOME MATERIALS ON THIS ISSUE OF UNDUE INDUCEMENT  
16 FROM PAYMENT, AND ACTUALLY GET SOME IRB VIEWS ON  
17 THIS AS WELL. BECAUSE I THINK MOST OF THE  
18 INSTITUTIONS THAT, FOR EXAMPLE, ARE ACCREDITED BY  
19 AHRPP WOULD HAVE A PRETTY, IT SEEMS TO ME, ROBUST  
20 OVERSIGHT PROCESS OF PAYMENTS FOR THESE BASIC  
21 PROCEDURES. IRB'S, I KNOW, HAVE STRUGGLED WITH THIS  
22 A LOT AND ASK THEMSELVES IS THIS TOO MUCH? ARE WE  
23 GOING TO GET PEOPLE COMING IN AND SORT OF CLOSING  
24 THEIR EYES TO THE REAL RISKS OF THESE TYPES OF  
25 RESEARCH?

## BARRISTERS' REPORTING SERVICE

1 SO LET ME JUST POINT OUT -- DID I ALREADY  
2 SAY -- THAT WE TOOK A DIFFERENT GRANDFATHERING --  
3 THE ICOC TOOK A DIFFERENT GRANDFATHERING DATE FOR  
4 THE -- I SAID THAT ALREADY.

5 TODAY IT SOUNDS LIKE I'M HEARING FROM OUR  
6 COMMITTEE THAT WE'RE COMFORTABLE WITH THE CUTOFF  
7 DATE BEFORE PROP 71. WE CAN'T -- IT'S UNFAIR TO  
8 EXPECT PEOPLE TO HAVE GUESSED WHAT PROPOSITION 71  
9 WAS GOING TO DO; BUT AFTER THAT, WE'RE NOT  
10 COMFORTABLE WITH ANY PAYMENT, GRANDFATHERING IN ANY  
11 PAYMENTS GIVEN THE LANGUAGE OF PROP 71. I THINK  
12 THAT'S WHAT'S I HEARD EVERYBODY SAY.

13 DR. PETERS: WHY DO WE DISTINGUISH BETWEEN  
14 BEGINNING PROP 71 AND THE DATE THE ICOC SET? DOES  
15 IT MATTER WHICH DATE WE CHOOSE?

16 CHAIRMAN LO: NOT SURE. DOROTHY.

17 PROFESSOR ROBERTS: I HAVEN'T REALLY  
18 THOUGHT ABOUT IT MUCH BECAUSE I DIDN'T ANTICIPATE --

19 CHAIRMAN LO: WE DIDN'T EITHER. WE'RE  
20 GLAD YOU'RE HERE.

21 PROFESSOR ROBERTS: THERE'S A COUPLE  
22 THINGS THAT COME TO MIND. ONE IS IS THERE A VALUE  
23 TO CONSISTENCY, JUST HAVING THE SAME GRANDFATHERING  
24 DATE? PRESUMABLY IT'S IN THE SAME ISSUES, RIGHT,  
25 WHETHER RESEARCHERS WOULD HAVE THOUGHT TO FOLLOW

## BARRISTERS' REPORTING SERVICE

1 THESE GUIDELINES BEFORE THEY FOLLOWED -- WELL, TO  
2 FOLLOW PROP 71 RULES BEFORE PROP 71 EXISTED.

3 MR. SHEEHY: THERE IS A DISTINCTION  
4 BECAUSE THIS SPEAKS OF RESEARCH DONORS. WE WERE  
5 TALKING ABOUT EMBRYOS THAT WERE CREATED FOR  
6 REPRODUCTIVE PURPOSES. THOSE DONATIONS WERE NOT  
7 PAID FOR FOR RESEARCH. THOSE WERE NOT RESEARCH  
8 DONATIONS. THESE WERE ACTUALLY DONATIONS FOR  
9 REPRODUCTIVE PURPOSES. THAT DOES ALLOW A DIFFERENT  
10 STANDARD TO BE APPLIED.

11 PROFESSOR ROBERTS: HOW DID THE DATE  
12 RELATE TO THAT DISTINCTION?

13 MR. SHEEHY: THE DATE -- I BELIEVE THE  
14 THINKING, AND, AGAIN, I'M FUZZY TODAY, BUT I THOUGHT  
15 THAT WE WERE MAKING A DATE MORE TO MAINTAIN THE  
16 PURITY -- TO MAINTAINING THAT THESE -- OUR INTEREST  
17 IN SETTING A DATE WAS TO CLEARLY INDICATE THAT THESE  
18 DONATIONS WERE MADE FOR REPRODUCTIVE PURPOSES.  
19 THAT'S WHY WE SET A DATE SO THAT THERE WOULD BE  
20 NO -- WE WERE TRYING TO MAKE IT UNFEASIBLE FOR THOSE  
21 DONATIONS TO HAVE BEEN MADE. IN OTHER WORDS, IT WAS  
22 ILLEGAL FOR THOSE DONATIONS TO HAVE BEEN MADE WITH  
23 THE IDEA THAT THOSE COULD BE USED FOR RESEARCH  
24 PURPOSES BECAUSE THEY WERE CLEARLY NOT ABLE TO BE  
25 MADE FOR RESEARCH PURPOSES.



## BARRISTERS' REPORTING SERVICE

1 SO WE WERE TRYING TO MAKE SURE THAT AT NO  
2 POINT IN THAT DECISION-MAKING PROCESS, AND PLEASE  
3 CORRECT ME, BERNIE OR SOMEONE, IF I'M WRONG ON THIS,  
4 BUT THAT THE IDEA OF DONATING FOR RESEARCH WAS IN NO  
5 WAY PART OF THE INTERACTION THAT LED TO THE  
6 DONATION. THAT WAS PURELY FOR REPRODUCTIVE  
7 PURPOSES.

8 CHAIRMAN LO: I THINK THAT'S RIGHT, JEFF,  
9 THAT THESE WERE IN A SENSE TISSUES THAT WERE  
10 PROVIDED FOR A TOTALLY DIFFERENT PURPOSE, AND THE  
11 PAYMENT WAS MADE IN THAT REPRODUCTIVE CONTEXT. AND  
12 RATHER THAN DESTROYING THE MATERIALS AFTER THE WOMAN  
13 OR COUPLE DECIDED NOT TO GIVE THEM TO SOMEONE ELSE  
14 OR NOT TO GO FORWARD WITH FURTHER IVF, THAT THE IDEA  
15 WAS THAT THEY WOULD BE ALLOWED. BUT BECAUSE THEY  
16 WEREN'T ORIGINALLY -- THE PAYMENT WASN'T MADE WITH  
17 ANY VIEW TOWARDS USING THEM FOR STEM CELL RESEARCH,  
18 WE THOUGHT THERE WAS NO UNDUE INDUCEMENT IN THAT  
19 SITUATION. I GUESS WE SORT OF THOUGHT THAT, WELL,  
20 PROP 71 REALLY DIDN'T APPLY BECAUSE THEY WEREN'T  
21 RESEARCH DONORS OR RESEARCH PARTICIPANTS AT THE TIME  
22 THE COMPENSATION WAS MADE.

23 HERE FOR STEM CELLS -- I'M SORRY -- FOR  
24 LIVER BIOPSIES FOR IPS CELLS, I THINK THESE ARE  
25 RESEARCH SUBJECTS AND THEY'RE DONATING A LIVER

## BARRISTERS' REPORTING SERVICE

1 BIOPSY.

2 PROFESSOR ROBERTS: RIGHT. SO THEN MAYBE  
3 THE DATE OF THE PROP 71 PASSING DOES MAKE MORE SENSE  
4 FOR THESE, THE GRANDFATHERING DATE.

5 CHAIRMAN LO: WELL, IN POINT OF -- YEAH.  
6 MY OWN SENSE IS THE GRANDFATHERING IS NOT SO MUCH AN  
7 ISSUE BECAUSE I THINK THE ISSUE IS GOING TO BE WHAT  
8 ALAN RAISED, THAT IF SOMEONE WITHOUT CIRM FUNDING  
9 DERIVES A STEM CELL LINE THAT NOW CIRM RESEARCHERS  
10 WANT TO USE, WILL WE PERMIT THAT? I THINK THAT'S  
11 SOMETHING WE NEED TO THINK ABOUT AND GET -- TO THINK  
12 ABOUT AND SORT OF MAKE SOME PROPOSALS.

13 RIGHT NOW IT SEEMS TO BE VERY HARD -- I  
14 GUESS THE SECOND QUESTION IS ARE WE GOING TO ALLOW  
15 SORT OF COOPERATIVE ARRANGEMENTS WHERE SOME RESEARCH  
16 SAYS I'D LIKE A PIECE OF YOUR LIVER, A LIVER BIOPSY,  
17 I CAN'T PAY YOU, BUT WE HAVE TO MAKE ARRANGEMENTS TO  
18 GET THE BIOPSY FRESH AND INTO THE RIGHT HANDS, AND  
19 SO THERE'S GOING TO BE A LOT OF COORDINATION.

20 DR. TROUNSON: PRESUMABLY THE SOURCE OF  
21 LIVER CELLS JUST STRAIGHT FOR RESEARCH WOULD BE FROM  
22 CADAVERIC SAMPLES IF THE PERSON AGREED TO DONATE HIS  
23 MATERIAL.

24 CHAIRMAN LO: WOULD THAT WORK FOR  
25 DERIVATION OF IPS CELLS?

## BARRISTERS' REPORTING SERVICE

1 DR. TROUNSON: WELL, IT WOULD BE FOR THE  
2 RESEARCH PURPOSES. I IMAGINE THAT THAT'S PROBABLY  
3 THE SOURCE, WILL BE THE PRIMARY SOURCE FOR THESE  
4 CELLS, AND THAT WOULDN'T REQUIRE ANY PAYMENT.

5 CHAIRMAN LO: BUT THEN THOSE LINES, COULD  
6 THEY BE USED FOR CLINICAL TRANSPLANTATION?

7 DR. TROUNSON: NO, I DON'T THINK SO  
8 BECAUSE THE CELLS THAT ARE RELEVANT FOR THAT WOULD  
9 COME FROM THE PATIENTS, YOU KNOW, WHO WOULD BE  
10 PATIENT-SPECIFIC.

11 DR. TAYLOR: PATIENTS WOULDN'T NEED TO BE  
12 COMPENSATED.

13 DR. TROUNSON: THAT'S RIGHT. BUT, YOU  
14 KNOW, WHAT WAS CONCERNING ME A LITTLE BIT IS THAT  
15 THEY WOULD BE THE ONLY PART OF THE POPULATION WHO  
16 WOULD BE MOTIVATED TO DO THAT. AND SO YOU'RE ASKING  
17 SOMETIMES RELATIVELY SICK PEOPLE TO MAKE DONATIONS  
18 WHERE IT MAY -- I DON'T KNOW WHETHER THIS IS TRUE,  
19 BUT MIGHT NOT BE IN THEIR --

20 CHAIRMAN LO: WELL, THERE'S ANOTHER THING  
21 THAT HAPPENS IN THE CLINICAL TRANSPLANTATION  
22 CONTEXT, THAT IN A FAMILY WHERE THERE'S A VERY  
23 STRONG FAMILY HISTORY OF ONE OF THESE DISEASES THAT  
24 IT'S HOPED STEM CELL WILL TREAT, THE HEALTHY MEMBERS  
25 OF THE FAMILY MAY FEEL ENORMOUS PRESSURE. WELL, WE

## BARRISTERS' REPORTING SERVICE

1 CAN'T DONATE BECAUSE IT'S TOO RISKY FOR US. IF  
2 SOMEONE LIKE YOU DOESN'T DONATE, THE RESEARCH WON'T  
3 GET DONE, AND THE PERSON MAY NOT FEEL COMFORTABLE  
4 SAYING, GEE, I'M NOT REALLY COMFORTABLE WITH THAT.  
5 SO THIS GETS TRICKY.

6 I THINK WE NEED TO THINK THIS OUT. AND I  
7 GUESS -- LET ME JUST SAY AT THE VERY LEAST, EVEN IF  
8 WE END UP DECIDING IT'S FUTILE TO TRY AND CHANGE  
9 PROP 71, I THINK WE DO NEED TO BE CLEAR IN GIVING A  
10 PUBLIC RATIONALE FOR WHY WE'RE ALLOWING WHATEVER  
11 KIND OF ARRANGEMENTS WE END UP SUGGESTING SO IT  
12 DOESN'T LOOK LIKE WE'RE JUST TRYING TO SUBVERT THE  
13 INTENTION OF THE VOTERS.

14 I GUESS ALSO I WOULD LIKE TO GET A BRIEF  
15 FROM THE POLITICAL SORT OF CONSULTANTS WE HAVE.

16 SOMEONE ON THE PHONE WANTS TO SAY  
17 SOMETHING.

18 DR. PRIETO: BERNIE, I THINK THAT SHERRY  
19 AND JEFF CAN COMMENT ON THIS, BUT I JUST DON'T THINK  
20 THAT'S A FEASIBLE OPTION.

21 MS. LANSING: I WOULD LIKE TO SECOND THAT.  
22 I THINK AT THIS TIME IT WOULD BE ALMOST IMPOSSIBLE.

23 CHAIRMAN LO: OKAY. I NEVER SAID IT. I  
24 NEVER SUGGESTED IT.

25 MS. LANSING: I THINK, YOU KNOW, WE'VE

## BARRISTERS' REPORTING SERVICE

1 ALWAYS SAID WE'RE STILL AT THE EARLY STAGES. A LOT  
2 OF THINGS -- WE'LL SEE, BUT I'M HOPEFUL WE'LL CHANGE  
3 NATIONALLY, AND THEN IT MIGHT BE A DIFFERENT TIME.

4 CHAIRMAN LO: ALL RIGHT. SO DO I HAVE A  
5 SENSE OF THE COMMITTEE, THEN, THAT WE'RE GOING TO  
6 SUGGEST TO THE ICOC THAT WE MAKE A LIMITED EXCEPTION  
7 TO OUR VERY STRICT OVERSIGHT, CONSENT, PAYMENT  
8 STANDARDS, AND THE THINGS THAT WOULD BE ACCEPTED  
9 WOULD BE DONATIONS OF SOMATIC CELLS, DERIVATION OF  
10 IPS LINE, CHARACTERIZATION OF THAT LINE, PROOF OF  
11 PLURIPOTENCY, DERIVATION OF SPECIALIZED LINES,  
12 DERIVED LINES BUT NOT GAMETES, AND PROOF THAT THEY  
13 ARE, IN FACT, OF THAT LINEAGE?

14 FOR THOSE THE LEVEL OF REVIEW WOULD BE  
15 SCRO NOTIFICATION RATHER THAN FULL REVIEW. LEVEL OF  
16 CONSENT THAT WOULD BE PERMITTED WOULD BE GENERAL  
17 RESEARCH CONSENT, ALTHOUGH I THINK WE SHOULD SAY AS  
18 A MATTER OF SUGGESTION THAT WE WOULD CERTAINLY BE  
19 HAPPY TO SEE RESEARCHERS HAVE A MUCH MORE THOROUGH  
20 CONSENT PROCESS. AND FOR PAYMENT WE WOULD ALLOW  
21 GRANDFATHERING OF PAYMENTS WITH CELLS WHERE THE  
22 PAYMENTS WERE MADE BEFORE THE PASSAGE OF PROP 71,  
23 WHICH WAS FOUR YEARS AGO NOW.

24 IS THAT THE SENSE OF THE COMMITTEE? I  
25 DON'T KNOW IF HEARING NO OBJECTION MEANS NO ONE --

**BARRISTERS' REPORTING SERVICE**

1 DR. PRIETO: I'M OKAY HERE.

2 CHAIRMAN LO: YOU WANT TO JUST DO A ROLL  
3 CALL?

4 MR. SHEEHY: I THINK A ROLL CALL IS A GOOD  
5 IDEA, BERNIE. WE MAY EVEN HAVE A QUORUM. I DON'T  
6 KNOW.

7 DR. LOMAX: WE ARE SHORT ON A QUORUM, I  
8 BELIEVE. SO I THINK BERNIE SUCCESSFULLY SUMMARIZED  
9 THE FRAMEWORK.

10 SHERRY LANSING.

11 MS. LANSING: YES.

12 DR. LOMAX: JEFF SHEEHY.

13 MR. SHEEHY: YES.

14 DR. LOMAX: BERNARD LO.

15 CHAIRMAN LO: YES.

16 DR. LOMAX: TED PETERS.

17 DR. PETERS: YES.

18 DR. LOMAX: DOROTHY ROBERTS.

19 PROFESSOR ROBERTS: YES.

20 DR. LOMAX: JOSE CIBELLI.

21 DR. CIBELLI: YES.

22 DR. LOMAX: ANN KIESSLING.

23 DR. KIESSLING: YES.

24 DR. LOMAX: JAMES WILLERSON. ROB TAYLOR.

25 DR. TAYLOR: YES.

**BARRISTERS' REPORTING SERVICE**

1 DR. LOMAX: FRANCISCO PRIETO.

2 DR. PRIETO: YES.

3 DR. LOMAX: DID I MISS ANYONE? THANK YOU.

4 CHAIRMAN LO: A BREAK. AND WHEN ARE WE  
5 SCHEDULED TO GO TO, GEOFF?

6 DR. LOMAX: THE AGENDA SAYS 1 O'CLOCK.

7 CHAIRMAN LO: CAN WE TAKE A 15-MINUTE  
8 BREAK? IS THAT OKAY? SO 15 MINUTES FROM NOW.

9 (A RECESS WAS TAKEN.)

10 CHAIRMAN LO: COULD WE TAKE A ROLL CALL  
11 AND SEE WHO'S BACK FROM THE BREAK AND SEE IF WE HAVE  
12 ENOUGH TO START. WE HAVE A GUEST THAT WE WANT TO  
13 ACCOMMODATE AS WELL.

14 DR. LOMAX: SHERRY LANSING.

15 MS. LANSING: YES.

16 DR. LOMAX: JEFF'S IN. BERNIE. TED.  
17 DOROTHY ROBERTS IS ON THE LINE. JOSE. WE MAY HAVE  
18 LOST JOSE. I'LL SEND HIM ANOTHER E-MAIL. ANN.

19 DR. KIESSLING: YES.

20 DR. LOMAX: ROB TAYLOR AND FRANCISCO.

21 DR. TAYLOR: YES.

22 DR. ADAMSON: AND DAVID ADAMSON IS HERE  
23 TOO.

24 CHAIRMAN LO: DO YOU WANT TO INTRODUCE  
25 DAVID?

## BARRISTERS' REPORTING SERVICE

1 DR. LOMAX: WE HAVE DR. DAVID ADAMSON ON  
2 THE LINE. WE ASKED HIM TO PARTICIPATE. HE WAS --  
3 THE NEXT DISCUSSION WILL CONSIDER OUR INTERIM  
4 REGULATION CONCERNING THE USE OF EMBRYOS CONTAINING  
5 GAMETES FOR WHICH A DONOR MAY HAVE BEEN PAID. AND  
6 ONE OF THE PERSPECTIVES OR ONE OF THE ISSUES THAT WE  
7 WOULD ASK HIM TO SPEAK TO IS THE ROLE OF THE IVF  
8 PHYSICIAN AND THE PROCESS FOR WHICH THERE'S AN  
9 INTERACTION BETWEEN THE GAMETE DONOR AND THE COUPLE  
10 IN IVF TREATMENT.

11 IN OUR LAST DISCUSSION, A NUMBER OF ISSUES  
12 WERE RAISED ABOUT POSSIBLE CONFLICTS, ROLES,  
13 RESPONSIBILITY, WHAT HAVE YOU. SO WE WERE ASKED TO  
14 SORT OF IDENTIFY SOMEONE WHO COULD SPEAK TO THE  
15 CLINICAL SIDE OF THINGS, AND HE'S PREPARED SOME  
16 COMMENTS, WHICH, AS WE MOVE THROUGH THE DISCUSSION,  
17 BERNIE CAN INVITE HIM IN.

18 CHAIRMAN LO: SO WE'RE NOW SORT OF  
19 TACKLING 4(B) ON THE AGENDA, WHICH IS USE OF IVF  
20 EMBRYOS FOR WHICH THE GAMETE DONORS WERE PAID, BUT  
21 PAID IN A REPRODUCTIVE CONTEXT. AND THIS, AGAIN, IS  
22 COMPLICATED AND DIFFICULT.

23 I'M GOING TO ASK GEOFF TO START, AND WE  
24 ARE GOING TO, AGAIN, GO BACK TO THAT PDF WITH THE  
25 SLIDES. AND NOW THE FOURTH SLIDE ON PAGE 2, BOTTOM



## BARRISTERS' REPORTING SERVICE

1 OF PAGE 2, KIND OF JUST TO REVIEW WHAT THE CURRENT  
2 REGULATIONS ARE FOR NBO DERIVATION, AND THEN  
3 PROPOSED CHANGES.

4 DR. LOMAX: THANK YOU, BERNIE. THE  
5 STANDARD -- THE FIRST SLIDE, THE ONE WITH THE ARROW,  
6 I BELIEVE IT'S SLIDE NO. 4, IT'S TRYING TO  
7 ILLUSTRATE OR ATTEMPTING TO ILLUSTRATE THE CURRENT  
8 STANDARDS FOR CIRM FOR USE OF EMBRYOS IN CIRM-FUNDED  
9 RESEARCH, AND SPECIFICALLY EMBRYOS THAT WERE CREATED  
10 IN IVF CONTEXT AND FOR WHICH THE GAMETE DONOR MAY  
11 HAVE BEEN PAID IN THE CREATION OF THAT EMBRYO.

12 NOW, WHAT I'VE DONE IS SORT OF ILLUSTRATE  
13 REALLY TWO THINGS ON THAT SLIDE. IT'S A BIT MORE  
14 COMPLICATED THAN THE ISSUE AT HAND. THE LAST SET OF  
15 RECOMMENDATIONS PUT FORWARD BY THE WORKING GROUP WAS  
16 THAT PRIOR TO 11/22/06, WHICH IS THE EFFECTIVE DATE  
17 OF THE REGULATIONS, THERE IS A WILLINGNESS BOTH TO  
18 USE EMBRYOS FOR WHICH -- IT'S ACCEPTABLE TO USE  
19 EMBRYOS FOR WHICH A GAMETE DONOR WAS PAID AND IT'S  
20 ACCEPTABLE AS LONG AS THERE WAS SOME TYPE OF  
21 EXPLICIT CONSENT FOR USE OF THE EMBRYO IN RESEARCH.

22 AGAIN, THAT FOLLOWS THE RATIONALE WE'VE  
23 ALLUDED TO A NUMBER OF TIMES TODAY, WHICH IS WE  
24 DON'T WANT TO RETROSPECTIVELY APPLY A CONSENT  
25 STANDARD ON MATERIALS WHERE THEY DIDN'T KNOW THE

## BARRISTERS' REPORTING SERVICE

1 STANDARD EXISTS PRIOR TO THAT DATE.

2 CHAIRMAN LO: GEOFF, IF I MAY INTERRUPT  
3 FOR A SECOND. JUST TO BE SPECIFIC, THAT'S CONSENT  
4 FROM THE OOCYTE DONOR IN IVF, THAT SHE NEEDED TO  
5 GIVE SOME GENERAL CONSENT FOR RESEARCH, BUT NOT  
6 SPECIFICALLY FOR DERIVATION OF STEM CELL LINES,  
7 WHICH WOULD BE REQUIRED AFTER THE EFFECTIVE DATE OF  
8 THE REGULATION; IS THAT RIGHT?

9 DR. LOMAX: THAT'S CORRECT. AND THEN FROM  
10 THE POINT BETWEEN 11/22/06 AND 8/13/08, THAT'S WHEN  
11 THE NEW -- WELL, NEW CONSENT STANDARD APPLIES ALL  
12 THE TIME, BUT FOR THOSE THERE'S A WINDOW OF  
13 OPPORTUNITY, IF YOU WILL, THERE TO USE IVF EMBRYOS  
14 FOR WHICH THE DONOR WAS PAID, BUT YOU'D ALSO HAVE TO  
15 CONFORM TO THE SORT OF PROSPECTIVE CONSENT STANDARD,  
16 IF YOU WILL, OR THE CIRM CONSENT STANDARD.

17 NOW, THE 8/13/08 IS A SORT OF CUTOFF DATE  
18 FOR WHICH GOING FORWARD EMBRYOS WITH GAMETES WHICH  
19 THE DONORS WERE PAID ARE NO LONGER ALLOWED FOR USE  
20 IN CIRM-FUNDED RESEARCH. THAT WAS PRESENTED -- THAT  
21 WAS THE RECOMMENDATION OF THIS WORKING GROUP. IT  
22 WAS THEN PRESENTED TO THE ICOC. AND DURING THAT  
23 PRESENTATION, THERE WERE COMMENTS RECEIVED THAT  
24 PERHAPS THERE SHOULD BE CONSIDERED A STANDARD THAT  
25 WOULD BE FLEXIBLE TO ALLOW USE OF MATERIALS GOING

## BARRISTERS' REPORTING SERVICE

1 FORWARD.

2 WHAT WAS THEN PROPOSED IN THE NEXT SLIDE  
3 OR WHAT IS SUGGESTED AS AN OPTION IS A STANDARD THAT  
4 WOULD CREATE A PERIOD OF TIME FOR WHICH EMBRYOS WITH  
5 PAID GAMETES COULD NOT BE USED. THE IDEA, I THINK,  
6 RELATES TO THE THOUGHTS OF THE WORKING GROUP THAT  
7 YOU DON'T WANT TO CREATE A SITUATION WHERE THERE'S  
8 AN INDUCEMENT TO CREATE EMBRYOS UNDER THE SORT OF  
9 GUISE OF IVF, IF YOU WILL, BUT THEY'RE REALLY  
10 INTENDED FOR RESEARCH. SO THE CONCEPT OF A WAITING  
11 PERIOD IS TO AVOID ANY SITUATION WHERE YOU'RE  
12 CREATING EMBRYOS WITH PAID DONORS WITH THE INTENT  
13 SOMEHOW OR THE INTENT OF DEVELOPING THEM FOR  
14 RESEARCH, BUT RATHER THAN A FIXED DATE IN TIME, YOU  
15 HAVE SOME ROLLING PERIOD OF TIME.

16 SO IN A SENSE YOU'RE CREATING A WAITING  
17 PERIOD, IF YOU WILL, TO ENSURE THAT DURING THAT  
18 PERIOD OF TIME, THE COUPLE HAVE ADDRESSED THEIR  
19 REPRODUCTIVE NEEDS OR THERE'S SORT OF OTHERWISE NO  
20 COERCION OR INDUCEMENT TO GET THOSE MATERIALS INTO  
21 RESEARCH. THAT'S SORT OF THE CONTOURS OF THE INTENT  
22 THERE. I HAVEN'T EXPLAINED THAT VERY WELL, BUT I'M  
23 SURE, BERNIE, YOU CAN KIND OF NOW CLARIFY IT.

24 CHAIRMAN LO: LET ME TRY AND SORT THIS  
25 THROUGH, AND PARTICULARLY TO HELP ORIENT DOROTHY.

## BARRISTERS' REPORTING SERVICE

1 SO WE'RE REALLY FOCUSING HERE ON THE PAYMENT ISSUE  
2 RATHER THAN THE CONSENT ISSUE. AND, AGAIN, THE  
3 STARTING POINT IS WHAT WE JUST LOOKED AT IN PROP 71  
4 BEFORE THE BREAK, THE PROHIBITION ON PAYMENT BEYOND  
5 OUT-OF-POCKET EXPENSES TO RESEARCH DONORS OR  
6 PARTICIPANTS.

7 AND WHAT WE DID -- WHAT THE ICOC DID AT  
8 ITS MEETING IN AUGUST 2008 WAS TO CARVE -- TO ALLOW  
9 AN EXCEPTION FOR EMBRYOS FROM OOCYTE DONORS WHO WERE  
10 PAID, BUT PAID IN THE IVF CONTEXT. AND THAT  
11 EXCEPTION WAS A GRANDFATHERING TO SAY THAT BEFORE  
12 THAT DATE OF THAT MEETING THAT WOULD BE PERMITTED.  
13 AND I THINK IMPLICITLY WAS THE INTERPRETATION OF  
14 PROP 71 THAT THESE WERE NOT REALLY RESEARCH DONORS  
15 BECAUSE THEY WERE DONORS FOR CLINICAL IVF, AND THEN  
16 THERE WAS EXCESS MATERIAL THAT WASN'T NEEDED FOR THE  
17 ORIGINAL REPRODUCTIVE INTENT.

18 SO THAT WAS, I THINK, THE RATIONALE FOR  
19 THE GRANDFATHERING. THERE CAN BE NO UNDUE  
20 INDUCEMENT GOING BACKWARDS, AND THAT WHATEVER THE  
21 RISKS THE OOCYTE DONOR UNDERWENT HAD ALREADY BEEN  
22 FACED. THERE'S NO ADDITIONAL RISK TO HER OF  
23 ALLOWING THE EMBRYOS TO BE USED FOR RESEARCH RATHER  
24 THAN BEING DESTROYED AT THE REQUEST OF THE -- RATHER  
25 THAN BEING DESTROYED.

## BARRISTERS' REPORTING SERVICE

1 SO NOW THE QUESTION WAS RAISED AT THE  
2 MEETING AND WE WERE ASKED TO CONSIDER WAS IS THAT  
3 AUGUST '08 CUTOFF DATE GOING TO BE FIRM BECAUSE, AS  
4 WE GO FORWARD IN TIME, THERE WILL BE EMBRYOS CREATED  
5 AFTER THAT DATE WHICH MAY WELL TURN OUT TO BE EXCESS  
6 AFTER THE WOMAN AND COUPLE IN IVF HAVE COMPLETED  
7 THEIR FERTILITY TREATMENT. SO THE PROPOSAL THAT WAS  
8 RAISED WAS SHOULD WE ALLOW FOR A DIFFERENT CUTOFF  
9 DATE OR A MOVING CUTOFF DATE. IS THAT FAIR, GEOFF?

10 DR. LOMAX: YES. I THINK THAT'S A HELPFUL  
11 CLARIFICATION.

12 CHAIRMAN LO: YOU WANT TO SAY A LITTLE BIT  
13 MORE ABOUT THE RATIONALE FOR WANTING TO GO TO THIS  
14 ROLLING DEADLINE OR ROLLING CUTOFF DATE, IF I CAN  
15 CALL IT THAT, IN TERMS OF THE NEED FOR MORE EMBRYOS  
16 TO DERIVE STEM CELL LINES?

17 DR. LOMAX: I'LL SPEAK TO TWO ISSUES THAT  
18 HAVE COME UP, AND THEN PERHAPS, DR. TROUNSON, THERE  
19 MAY BE SOME SCIENTIFIC POINTS THAT COULD BE RAISED  
20 AS WELL. THE TWO ISSUES THAT HAVE COME SORT OF  
21 THROUGH MY INTERACTION BOTH WITH RESEARCHERS AND THE  
22 PUBLIC IS, ONE, THAT THERE ARE INDIVIDUALS WHO, AS  
23 PART OF THEIR SORT OF PLANNING PROCESS WHO ARE IN  
24 IVF TREATMENT, SO IVF PATIENTS, THE IDEA OF THE  
25 FINAL DISPOSITION BEING RESEARCH IS ATTRACTIVE AND A

## BARRISTERS' REPORTING SERVICE

1 SENSE THAT THEY WOULD LIKE TO HAVE THE OPTION OF  
2 RESEARCH DONATION OPEN TO THEM. AND SO THAT  
3 OBVIOUSLY WOULD APPLY TO PATIENTS SORT OF MOVING  
4 FORWARD OF THE EXISTING CUTOFF DATE.

5 THE OTHER COMMENT COMING, NOT FROM THE  
6 PATIENT SIDE, BUT FROM THE INSTITUTION SIDE, IS THAT  
7 THEY FEEL THAT FROM THE STANDPOINT OF CONSENT, THERE  
8 IS AN OPPORTUNITY, IF THEY KNOW MOVING FORWARD THERE  
9 MAY BE A POTENTIAL TO USE MATERIALS FROM THESE  
10 DONORS, THAT THEY CAN -- IT GIVES A VERY STRONG  
11 INCENTIVE TO DO VERY COMPREHENSIVE CONSENT AT THE  
12 FRONT END WHEN THE DONOR IS AVAILABLE AT THE CLINIC  
13 BECAUSE FROM THAT POINT FORWARD, THE ABILITY TO  
14 CONSENT THE DONOR IS VERY LIMITED. IT'S VERY  
15 DIFFICULT TO GET BACK TO A DONOR. SO IT GIVES A  
16 VERY POWERFUL OR VERY STRONG INCENTIVE OR A CLEAR  
17 INCENTIVE THAT THE CONSENT IS WORTH DOING AT THAT  
18 TIME BECAUSE AT SOME POINT IN THE FUTURE, THE  
19 MATERIALS MAY BE AVAILABLE FOR RESEARCH.

20 WITH THE CURRENT CUTOFF, THAT INCENTIVE  
21 DOES NOT EXIST. SO CERTAINLY ON THE DONOR SIDE AND  
22 THE CONSENT SIDE, THERE WERE POINTS THAT WERE RAISED  
23 THAT SEEMED REASONABLE AND WORTHY OF CONSIDERATION  
24 OF THIS GROUP.

25 THE OTHER QUESTION THAT HAS COME UP A

## BARRISTERS' REPORTING SERVICE

1 NUMBER OF TIMES IS THE QUESTION OF SCIENTIFIC  
2 UTILITY. IF I MAY, DR. TROUNSON, PERHAPS DEFER TO  
3 YOU TO COMMENT ON GIVEN THAT THESE EMBRYOS REPRESENT  
4 A SMALL SUBSET, APPROXIMATELY 10 TO 12 PERCENT OF  
5 ALL EMBRYOS THAT TEND TO BE IN FREEZERS, IS THERE  
6 SOMETHING UNIQUE SORT OF SCIENTIFICALLY ABOUT THEM  
7 THAT MAKES THEM -- YOU KNOW, WHAT'S THE VALUE?

8 DR. TROUNSON: WELL, GENERALLY THE EMBRYOS  
9 THAT ARE CREATED BY DONOR EGGS, USUALLY THE EGG  
10 DONOR IS A YOUNG WOMAN, A RELATIVELY YOUNG WOMAN.  
11 WHEREAS, A LOT OF THE IVF PATIENTS TEND TO BE IN  
12 THEIR LATER 30S AND EARLY 40S. SO IT'S MUCH EASIER  
13 TO DERIVE EMBRYONIC STEM CELL LINES FROM EMBRYOS  
14 DERIVED FROM YOUNGER EGGS. AND THAT'S KIND OF  
15 RECOGNIZED WORLDWIDE JUST AS MUCH EASIER TO DO.

16 SO FOR THAT REASON, THERE IS SOME INTEREST  
17 IN BEING ABLE TO ACCESS THESE PARTICULAR EMBRYOS.

18 CHAIRMAN LO: ALAN, COULD YOU SAY  
19 SOMETHING ABOUT THE SCIENTIFIC VALUE OF HAVING  
20 EMBRYOS CREATED AFTER 8/13/08, WHICH WAS THE CUTOFF  
21 DATE THAT THE ICOC SET AT ITS LAST MEETING, SO  
22 WANTING TO MOVE THAT FORWARD IN TIME.

23 DR. TROUNSON: I THINK IT'S STILL THE SAME  
24 ISSUE, BERNIE, THAT IT'S THE EMBRYOS THERE ARE  
25 USUALLY DERIVED FROM YOUNGER PATIENTS. SO THEY HAVE

## BARRISTERS' REPORTING SERVICE

1 A HIGHER VITALITY, IF YOU LIKE, AND THEY'RE EASIER  
2 TO DERIVE EMBRYONIC STEM CELLS. THOSE CELLS BEHAVE  
3 BETTER THAN THOSE FROM OLDER PATIENTS. SO --

4 CHAIRMAN LO: I DIDN'T PHRASE MY QUESTION  
5 RIGHT. IS THERE A NEED FOR MORE EMBRYOS TO DERIVE  
6 NEW EMBRYONIC STEM CELL LINES THAN WOULD BE  
7 AVAILABLE USING THE 8/08 CUTOFF POINT FOR USING PAID  
8 OOCYTE DONORS?

9 DR. TROUNSON: THERE MAY BE. I THINK, FOR  
10 EXAMPLE, THE NEED TO DERIVE GMP COMPATIBLE EMBRYONIC  
11 STEM CELL LINES FROM VERY HIGH QUALITY MATERIAL IS A  
12 RECOGNIZED NEED. AND SO I THINK THAT THAT'S REALLY  
13 THE PRIMARY ARGUMENT FOR THOSE ACCESSING THAT  
14 MATERIAL.

15 CHAIRMAN LO: BUT THAT NEED COULD NOT BE  
16 MET BY EMBRYOS CREATED BEFORE THE CUTOFF DATE.

17 DR. TROUNSON: IT MAY NOT BE BECAUSE,  
18 AGAIN, THEY'RE LOOKING FOR THE HIGH QUALITY EMBRYOS  
19 FROM YOUNG PATIENTS, AGAIN, IN ORDER TO PRODUCE CELL  
20 LINES WITH HIGH VITALITY.

21 CHAIRMAN LO: OKAY. ANY QUESTIONS FROM  
22 THE COMMITTEE ON THIS ISSUE? AND THEN I'M GOING TO  
23 TURN TO DR. ADAMSON TO HELP US. COMMITTEE?

24 PROFESSOR ROBERTS: I WOULD LIKE SOME MORE  
25 CLARIFICATION ABOUT THE TWO YEARS, THE REASON FOR



## BARRISTERS' REPORTING SERVICE

1 THE TWO-YEAR PERIOD. I ASSUMED, WHEN I SAW THIS,  
2 THAT IT WAS -- IT HAD TO DO WITH THE COUPLES HAVING  
3 ACHIEVED WHATEVER REPRODUCTIVE OUTCOMES THEY WANTED  
4 WITH THE EGGS; AND, THEREFORE, THIS WOULD TAKE CARE  
5 OF CONCERN ABOUT THEIR INTERESTS. BUT IT SOUNDS  
6 LIKE IT HAS SOMETHING TO DO WITH ACTUALLY ADDRESSING  
7 THE INDUCEMENT TO USE THE EGGS FOR RESEARCH.

8 ARE THOSE TWO COMBINED? I DON'T  
9 UNDERSTAND WHAT -- HOW THE TWO-YEAR PERIOD PROTECTS  
10 THE INTEREST OF THE EGG DONOR AS OPPOSED TO THE  
11 RECIPIENT. SO COULD SOMEONE EXPLAIN THAT TO ME?

12 DR. LOMAX: I THINK IT'S SORT OF FLEXIBLE.  
13 THE COMMENT INVOLVES A LITTLE BIT OF DECIPHERING THE  
14 MINUTES OF THE LAST MEETING. I THINK THERE'S  
15 NOTHING MAGIC ABOUT TWO YEARS. IT COULD BE TWO  
16 YEARS. IT COULD BE SOME OTHER TIMEFRAME, BUT THE  
17 IDEA WAS THAT THERE'S SOME TYPE OF INTERVAL IN TIME  
18 WHERE THERE WASN'T AN ABILITY TO SORT OF RAPIDLY  
19 DIRECT MATERIALS INTO RESEARCH FOR SOME, WANT OF A  
20 BETTER TERM, CLANDESTINE PURPOSE.

21 SO I THINK THE TWO YEARS REALLY CAME ABOUT  
22 FROM INTERVIEWS WITH FOLKS WHO DEAL WITH -- WHO ARE  
23 LOOKING OUT FOR THE INTERESTS OF THE PATIENT, THAT  
24 YOU WOULD ALMOST NEVER SEE MATERIALS EVEN BECOME  
25 AVAILABLE FOR RESEARCH TILL AFTER A TWO-YEAR PERIOD.

## BARRISTERS' REPORTING SERVICE

1 THAT'S JUST DEALING WITH ISSUES OF THE TIME IT TAKES  
2 TO MAKE ANY KIND OF DETERMINATION THAT IVF HAS  
3 EITHER BEEN SUCCESSFUL OR UNSUCCESSFUL. SO --

4 PROFESSOR ROBERTS: RIGHT. THAT'S WHAT I  
5 WAS SAYING. SO I UNDERSTAND THE PERIOD ADDRESSING  
6 CONCERN FOR THE PATIENT. I DON'T UNDERSTAND HOW  
7 THAT ADDRESSES THE CONCERN FOR THE GAMETE DONOR AND  
8 THAT'S WHAT -- THE EGG DONOR. DOES IT? OR THAT'S  
9 THE CONNECTION I DON'T SEE.

10 CHAIRMAN LO: DOROTHY, I THINK YOU'RE  
11 RIGHT. THAT TWO-YEAR WAITING PERIOD IS REALLY TO  
12 PROTECT THE REPRODUCTIVE INTERESTS OF THE WOMAN AND  
13 COUPLE IN IVF.

14 PROFESSOR ROBERTS: SO THEN THERE ISN'T  
15 ANYTHING IN THIS PROPOSAL THAT ADDRESSES THE  
16 CONCERNS THAT EXISTED BEFORE FOR THE DONOR. IT'S  
17 JUST A DECISION THAT THE CUTOFF, YOU KNOW, WAS WRONG  
18 THEN. IN OTHER WORDS, IT'S RECONSIDERING THE  
19 DECISION TO HAVE THE CUTOFF AT AUGUST '08 IN TERMS  
20 OF CONCERNS FOR THE DONOR.

21 CHAIRMAN LO: THAT'S RIGHT. ALTHOUGH I  
22 THINK THERE IS -- THERE IS A CONCERN, BUT IT'S THE  
23 OPPOSITE CONCERN, THAT IF WE SAY THAT WE'RE HAVING A  
24 FIRM CUTOFF DATE AND THE EMBRYO HAD TO BE CREATED  
25 BEFORE 8/08, THEN THERE IS NO WAY THERE COULD BE ANY

## BARRISTERS' REPORTING SERVICE

1 INCENTIVE ON EITHER IVF PHYSICIANS OR PATIENTS IN  
2 IVF OR DONORS TO THOSE PATIENTS TO TRY AND INCREASE  
3 THE YIELD OF OOCYTES IN ORDER TO HAVE A COUPLE  
4 LEFT-OVER EMBRYOS THAT MIGHT THEN GO TO RESEARCHERS  
5 IF THEY'RE NOT NEEDED IN IVF TREATMENT.

6 SO I THINK THERE'S A CONCERN THAT BY  
7 ALLOWING FUTURE IVF CYCLES TO BE USED FOR RESEARCH,  
8 THAT THE CONCERN MIGHT BE THAT IT WOULD AFFECT THE  
9 PRACTICE OF IVF CARE, BUT NOTHING IN THIS  
10 PROPOSITION WOULD -- IN THIS PROPOSAL WOULD INCREASE  
11 PROTECTIONS FOR THE OOCYTE DONOR.

12 PROFESSOR ROBERTS: SO ANYONE WHO HAD  
13 CONCERNS ABOUT THE OOCYTE DONOR, AND THOSE CONCERNS  
14 WERE THE BASIS FOR WANTING THE 8/13/08 CUTOFF AND  
15 NOTHING PROSPECTIVELY, NO PAID GAMETES  
16 PROSPECTIVELY, NO EMBRYOS -- NO USE OF EMBRYOS  
17 CREATED WITH PAID GAMETES PROSPECTIVELY, THERE'S  
18 NOTHING IN THE TWO-YEAR WAITING PERIOD THAT  
19 ADDRESSES THAT.

20 CHAIRMAN LO: YES, I THINK THAT'S RIGHT.

21 PROFESSOR ROBERTS: I JUST WANTED TO MAKE  
22 SURE I UNDERSTOOD THE PROPOSAL, AND I THINK I DO.  
23 SO REALLY THE QUESTION IS DO THE ISSUES THAT HAVE  
24 COME UP SINCE THE 8/08 -- SINCE CREATING THAT  
25 CUTOFF, WHETHER THOSE ISSUES -- THESE NEW ISSUES

## BARRISTERS' REPORTING SERVICE

1 OUTWEIGH THE CONCERNS THAT EXISTED BEFORE; IS THAT  
2 RIGHT?

3 DR. LOMAX: THOSE ARE -- IF YOU LOOK AT  
4 THE -- BEFORE I JUMP TO THE FINAL SLIDE, DO YOU WANT  
5 TO HAVE DR. ADAMSON. WE ASKED HIM -- I THINK PART  
6 OF THE QUESTION WAS -- THERE'S A SET OF SCENARIOS  
7 THAT WERE ANTICIPATED PREVIOUSLY. WHAT'S THE  
8 VALIDITY OF THOSE SCENARIOS? THAT'S SPECIFICALLY  
9 WHY WE ASKED HIM TO COME IN AND PRESENT.

10 DR. ADAMSON: WOULD YOU LIKE ME TO MAKE  
11 SOME COMMENTS?

12 DR. LOMAX: ARE YOU THE -- I BELIEVE  
13 YOU'RE THE FORMER PRESIDENT OF THE AMERICAN SOCIETY  
14 OF REPRODUCTIVE MEDICINE; IS THAT CORRECT?

15 DR. ADAMSON: YES. I'M THE IMMEDIATE PAST  
16 PRESIDENT. WE HAD OUR ANNUAL MEETING IN SAN  
17 FRANCISCO JUST ONE MONTH AGO, AND I WAS PRESIDENT  
18 THEN, AND I'M IMMEDIATE PAST PRESIDENT NOW. AND I'M  
19 PAST PRESIDENT OF THE SOCIETY FOR ASSISTED  
20 REPRODUCTIVE TECHNOLOGY AND HAVE A LARGE IVF CLINIC  
21 IN PALO ALTO AND SAN JOSE.

22 DR. LOMAX: WE HAVE REPRODUCED THE SLIDES  
23 THAT YOU PROVIDED FOR US. WOULD THAT BE A HELPFUL  
24 STARTING POINT?

25 DR. ADAMSON: SURE. AND I'D BE HAPPY TO

## BARRISTERS' REPORTING SERVICE

1 ANSWER ANY QUESTIONS TOO. BUT I HAVE NOT, OF  
2 COURSE, BEEN INVOLVED IN THE PRIOR DISCUSSIONS, SO  
3 FORGIVE ME IF I, YOU KNOW, MISS SOMETHING THAT  
4 YOU'VE DEALT WITH THAT I'M NOT AWARE OF OR BRING UP  
5 SOMETHING THAT YOU'VE ALREADY DEALT WITH.

6 BUT JUST WHEN I LEARNED ABOUT THE  
7 SITUATION AND THE QUESTIONS THAT WERE BEING ASKED, I  
8 FRANKLY THOUGHT IT WAS A LITTLE CURIOUS BECAUSE IT  
9 WAS DIFFICULT FOR ME TO IDENTIFY THE POTENTIAL FOR  
10 INDUCEMENT OR RISKS TO THE EGG DONORS IN ANY  
11 LEGITIMATE TYPE OF MEDICAL SITUATION. AND I THINK  
12 WE CAN ALL AGREE THAT THERE ARE SOME BAD PEOPLE IN  
13 THE WORLD NOT CONFINED TO THE U.S. AND OTHER  
14 COUNTRIES AND WHAT HAVE YOU. AND CERTAINLY THERE'S  
15 ALWAYS A POTENTIAL FOR AN UNDUE INDUCEMENT OR RISK  
16 IN ANY TYPE OF HUMAN BEHAVIOR. SO I WOULDN'T WANT  
17 TO SOUND SO NAIVE THAT I THOUGHT THAT THAT WAS NEVER  
18 A POSSIBILITY, BUT I THINK THE REALITY OF MEDICAL  
19 PRACTICE, IVF PRACTICE, IN THE UNITED STATES TODAY  
20 IS SUCH THAT SOME OF THE STORIES YOU READ OR THINGS  
21 THAT PEOPLE BECOME CONCERNED ABOUT REALLY REPRESENT  
22 A VERY EXAGGERATED TIP OF THE ICEBERG.

23 SO WHAT I WANTED TO DO IS JUST SORT OF  
24 GIVE MY PERSPECTIVE ON WHAT IT'S LIKE ON A  
25 DAY-TO-DAY BASIS ACTUALLY PRACTICING MEDICINE AND

## BARRISTERS' REPORTING SERVICE

1 HOW, FROM MY PERSPECTIVE, THE DIFFERENT PARTIES  
2 INVOLVED WOULD LOOK AT AND DO, IN FACT, LOOK AT,  
3 BECAUSE THIS IS WHAT WE DO EVERY DAY, THE  
4 REPRODUCTIVE PROCESS. AND SO WE COULD TRY TO  
5 IDENTIFY AREAS WHERE THERE MAY BE LEGITIMATE  
6 CONCERNS OVER RISK OR INDUCEMENT FOR ANY OF THE  
7 PARTIES INVOLVED.

8 SO I MADE THESE SLIDES I ASSUME YOU HAVE  
9 THERE, BUT JUST LOOKING AT THE INTEREST OF THE EGG  
10 DONOR, THEY CLEARLY WANT TO GET THE EGGS REMOVED  
11 FROM THEIR OVARIES WITH THE LEAST AMOUNT OF  
12 INCONVENIENCE, DISCOMFORT, AND RISK, AND THEY ALSO  
13 WANT TO AVOID ANY CYCLE CANCELLATION BECAUSE IN THE  
14 VAST MAJORITY OF PROGRAMS, IF THE EGG DONOR DOES  
15 NOT, IN FACT, HAVE AN EGG RETRIEVAL, THEN THE  
16 REIMBURSEMENT THEY'RE PAYING FOR DISCOMFORT, TIME,  
17 ETC., IS LESS. SO THEY DEFINITELY WANT TO GO  
18 THROUGH WITH THE EGG RETRIEVAL ONCE THEY'VE STARTED  
19 TAKING THE MEDICATION.

20 IF THE EGG DONOR HAS EXCESSIVE  
21 STIMULATION, WHICH IS WHAT ONE WOULD HAVE TO DO IN  
22 ORDER TO GET EXTRA EGGS, BY DEFINITION, APPROPRIATE  
23 STIMULATION BEING WHAT WOULD OPTIMIZE THE OUTCOME  
24 FOR THE RECIPIENT WHILE CLEARLY TAKING INTO ACCOUNT  
25 THE HEALTH ISSUES FOR THE DONOR, THEN TRYING TO GET

## BARRISTERS' REPORTING SERVICE

1 EXTRA EGGS SO THAT YOU CAN MAKE EXTRA EMBRYOS FOR  
2 RESEARCH WOULD, BY DEFINITION, LEAD TO EXCESSIVE  
3 STIMULATION. THIS WOULD CAUSE INCREASED DISCOMFORT  
4 AND RISK IN THE DONOR, WHICH WOULD CAUSE HER TO  
5 PROBABLY HAVE LESS DESIRE TO COME BACK AND DO  
6 ANOTHER CYCLE.

7 AND SINCE THERE'S A LARGE INVESTMENT BY  
8 THE PRACTICE IN IDENTIFYING EGG DONORS, FINDING  
9 THEM, ADVERTISING FOR PEOPLE TO CALL YOU, AND THEN  
10 ONCE THEY CALL YOU, GOING THROUGH ALL THE HISTORY  
11 AND PHYSICAL AND GENETIC SCREENING AND INFECTIOUS  
12 DISEASE SCREENING, THE PSYCHOLOGICAL COUNSELING WE  
13 DO AND THE CONSENTING OF THEM TAKES A HUGE AMOUNT OF  
14 TIME AND MONEY. AND SO THERE'S A LARGE SORT OF SUNK  
15 INVESTMENT IN THE EGG DONOR. AND EXTRA STIMULATION  
16 TO GET EXTRA EGGS FOR RESEARCH WOULD NOT MAKE SENSE.

17 AND SO THE EGG DONOR IS NOT GOING TO WANT  
18 THE EXTRA STIMULATION, SHE'S NOT GOING TO WANT THE  
19 CYCLE TO BE CANCELED. SO SHE'S NOT GOING TO HAVE  
20 ANY MOTIVATION TO PROVIDE EXTRA EGGS. AND, OF  
21 COURSE, EGG DONORS ARE NOT PAID FOR THE NUMBER OF  
22 EGGS THEY GET, NOR FOR THE QUALITY OF THE EGGS THEY  
23 GET. THEY'RE PAID A SET AMOUNT REGARDLESS. IF WE  
24 GET NO EGGS, THEY STILL GET PAID THE FULL AMOUNT IF  
25 THEY GO THROUGH AN EGG RETRIEVAL.

## BARRISTERS' REPORTING SERVICE

1 SO THEY'RE NEVER PAID FOR THE NUMBER OF  
2 EGGS OR THE QUALITY OF EGGS. SO THERE'S ABSOLUTELY  
3 NO MOTIVATION FROM A REIMBURSEMENT PERSPECTIVE, FROM  
4 A PHYSICAL PERSPECTIVE FOR THEM TO WANT TO MAKE MORE  
5 EGGS.

6 THE OTHER THING IS THAT IN ESSENTIALLY ALL  
7 CASES, WHEN THE EGG DONOR HAS THE EGGS REMOVED FROM  
8 HER BODY, SHE DONATES THOSE TO THE RECIPIENTS WHO AT  
9 THAT POINT HAVE THE ABILITY TO CONSENT FOR  
10 SUBSEQUENT USE OF THOSE EGGS ONCE FERTILIZED AND  
11 BECOMING EMBRYOS. SO EVEN THOUGH WE WOULD CLEARLY  
12 CONSENT THE EGG DONOR FOR RESEARCH JUST AS WE  
13 CONSENT HER IN ADVANCE FOR WHAT TYPE OF SITUATION  
14 SHE WANTS THE EGGS USED IN, IF SHE HAD CONSENTED FOR  
15 RESEARCH IN GENERAL, ONCE THE EGGS ARE RETRIEVED,  
16 SHE'D HAVE NO FURTHER AUTHORITY OVER THOSE EGGS. SO  
17 THERE WOULD BE NO MOTIVATION FOR HER TO WANT TO TRY  
18 TO EXTEND THAT.

19 SO MY PERSPECTIVE IS THAT THE EGG DONOR  
20 WOULD HAVE NO MOTIVATION TO WANT TO PARTICIPATE IN A  
21 CYCLE IN WHICH MORE EGGS ARE RETRIEVED BY EXCESSIVE  
22 STIMULATION. IN TERMS OF THE RECIPIENT, IT'S VERY  
23 CLEAR THAT THESE PEOPLE WHO ARE RECIPIENTS JUST WANT  
24 A BABY. NOT ONLY HAVE THEY EXPERIENCED INFERTILITY  
25 FOR A LONG TIME IN ALMOST ALL SITUATIONS, BUT A



## BARRISTERS' REPORTING SERVICE

1 LARGE PROPORTION OF WOMEN WHO GO THROUGH EGG DONOR  
2 CYCLES HAVE ALREADY BEEN THROUGH UNSUCCESSFUL IVF  
3 CYCLES WITH THEIR OWN EGGS, AND THAT'S WHY THEY'RE  
4 USING DONOR EGGS. NOT EVERYBODY, BUT THE LARGE  
5 MAJORITY. AND SO THEIR PRIMARY INTEREST IS  
6 ABSOLUTELY REPRODUCTION, AND THEY NEVER WANT TO DO  
7 ANYTHING THAT'S GOING TO POTENTIALLY LIMIT THAT.

8 SO THEY'RE NOT GOING TO BE PREPARED TO  
9 TAKE SOME OF THEIR EMBRYOS AND GIVE THEM AWAY PRIOR  
10 TO HAVING THE OPPORTUNITY FOR THEM TO FULFILL THEIR  
11 COMPLETE OR MAXIMUM REPRODUCTIVE POTENTIAL. THEY'RE  
12 NOT GOING TO WANT THE EGG DONOR TO GET EXTRA  
13 STIMULATION BECAUSE IF THE CYCLE IS CANCELED, THEN  
14 ALL THE PREPARATION THAT THE WOMAN RECIPIENT HAS  
15 UNDERGONE IS GOING TO BE LOST, NOT TO MENTION ALL  
16 THE MONEY THAT WILL HAVE BEEN SPENT BECAUSE THEY  
17 STILL HAVE TO SPEND THE MONEY TO PAY PART OF THE EGG  
18 DONOR CYCLE, OF COURSE, AND THEY HAVE TO PAY FOR  
19 THEIR OWN TREATMENT, AND THIS WOULD ALL BE LOST  
20 COMPENSATION, LOST TIME, AND LOST EFFORT. SO THEY  
21 HAVE NO INTEREST IN GETTING THE DONOR STIMULATED SO  
22 MUCH THAT SHE MIGHT BE CANCELED.

23 AND AS HAS BEEN POINTED OUT NOW ON THE  
24 ISSUE OF TWO YEARS OR WHATEVER THE TIMEFRAME IS, THE  
25 REALITY OF IT IS THAT ESSENTIALLY ALL THE PATIENTS

## BARRISTERS' REPORTING SERVICE

1 WHO GET FROZEN EMBRYOS EITHER USE THEM FOR A  
2 SUBSEQUENT CYCLE IMMEDIATELY IF THEY DID NOT  
3 CONCEIVE IN THE FRESH EMBRYO TRANSFER IN THE DONOR  
4 CYCLE OR THEY SAVE THEM FOR SUBSEQUENT SIBLING, AND  
5 IT USUALLY IS ONLY, YOU KNOW, A COUPLE YEARS OR  
6 THREE YEARS OR WHATEVER AFTER THEY'VE DONE THE  
7 INITIAL CYCLE THAT THE RECIPIENT COUPLES MAKE A  
8 DECISION ON DISPOSITION OF THE EMBRYOS.

9 SO AT THE TIME THAT THEY'RE GOING THROUGH  
10 THE CYCLE, THERE'S NO EMOTIONAL MOTIVATION OR  
11 OTHERWISE TO CONSIDER THE RESEARCH ISSUE. THAT'S A  
12 REALLY AFTER-THE-FACT CONSIDERATION. AT THE SAME  
13 TIME, I THINK A LOT OF COUPLES WHO GO THROUGH THIS  
14 FEEL THAT THEY MAY NOT WANT TO DONATE THESE EMBRYOS  
15 TO ANOTHER COUPLE BECAUSE THAT COULD POTENTIALLY, OF  
16 COURSE, RESULT IN SIBLING CHILDREN IN OTHER  
17 FAMILIES.

18 I THINK MY PERSPECTIVE IS THAT MANY OF THE  
19 PEOPLE WHO DO THIS WOULD BE VERY HAPPY TO DONATE  
20 THESE EMBRYOS TO RESEARCH AFTER THEY HAD COMPLETED  
21 THEIR FAMILY AND HAD ONE OR TWO OR THREE KIDS OR  
22 WHATEVER THEY WANTED, AND THESE CHILDREN ARE WELL ON  
23 THEIR WAY IN LIFE. THEN PEOPLE WOULD THINK OF  
24 DONATING THESE EMBRYOS AS OPPOSED TO THE  
25 ALTERNATIVE, WHICH IS EITHER PAYING TO KEEP THEM

## BARRISTERS' REPORTING SERVICE

1 FROZEN, WHICH CAN BECOME EXPENSIVE, OR JUST  
2 DISCARDING THEM, WHICH I THINK MANY PEOPLE WOULD  
3 LIKE. THEY HAVE THE ALTRUISTIC ALTERNATIVE OF  
4 DONATING TO RESEARCH. BUT I DON'T THINK THERE'S  
5 GOING TO BE ANY MOTIVATION FOR AT LEAST SIGNIFICANT  
6 TIME PERIOD AFTER THE CYCLE.

7 FINALLY, FROM THE PHYSICIAN, IT'S  
8 UNFORTUNATE IT'S ALWAYS THE BAD PHYSICIANS WHO SEEM  
9 TO MAKE THE NEWS, BUT I THINK THE REALITY OF IT IS  
10 THE VAST MAJORITY OF PHYSICIANS WANT TO DO THE RIGHT  
11 THINGS FOR THEIR PATIENTS AND TRY, AND THEY CLEARLY  
12 HAVE A PROFESSIONAL AND LEGAL DUTY AND OBLIGATION TO  
13 DO WHAT'S RIGHT FOR THE EGG DONOR. WE SEE THE EGG  
14 DONOR AS OUR PATIENT, AND WE SEE THE RECIPIENTS AS  
15 OUR PATIENTS, AND WE SEE OURSELVES AS PROFESSIONALS  
16 WHO MUST ENSURE THAT THE BEST INTERESTS OF EACH AND  
17 THE HEALTH OF EACH ARE ENSURED.

18 AND OUR CONSENT FORMS AND CONTRACTS AND  
19 EVERYTHING ELSE REFLECT THAT WE WILL NOT PUT THE  
20 HEALTH RISK OR THE MORAL OR OTHER INTERESTS OF  
21 EITHER PARTY AT RISK. THAT'S WHY WE DO THE  
22 EXTENSIVE CONSULTING AND COUNSELING, PSYCHOLOGICAL  
23 COUNSELING AND SCREENING BEFOREHAND SO THAT  
24 EVERYBODY KNOWS WHAT THE ARRANGEMENTS WILL BE.

25 OBVIOUSLY OUR GOAL IS TO OPTIMIZE THE

## BARRISTERS' REPORTING SERVICE

1 PREGNANCY RATE FOR THE RECIPIENTS BECAUSE THAT'S  
2 WHAT OUR PROFESSIONAL OBLIGATION IS, BUT ALSO WE ALL  
3 WANT TO HAVE THE BEST POSSIBLE PREGNANCY RATE SO  
4 THAT PEOPLE TELL THEIR FRIENDS AND COME BACK, SO  
5 THAT WHEN THE CDC PUBLISHES THEM, THEY LOOK GOOD.  
6 SO THE DOCTOR IS NOT GOING TO HAVE ANY INTEREST IN  
7 LOWERING THE PREGNANCY RATE BY TAKING SOME EMBRYOS  
8 AWAY.

9 THE CYCLE IS COUNTED AS A CYCLE ONCE THE  
10 OVARIAN STIMULATION HAS STARTED. SO THAT IF WE  
11 OVERSTIMULATE A DONOR AND THEN CANCEL THAT CYCLE AND  
12 DON'T GO TO EGG RETRIEVAL, THAT STILL COUNTS AS AN  
13 IVF CYCLE IN THE CDC RESULTS THAT GET REPORTED  
14 NATIONALLY. SO THAT MEANS THAT YOU HAVE A NUMBER IN  
15 THE DENOMINATOR, BUT YOU'RE GOING TO HAVE A ZERO IN  
16 THE NUMERATOR BECAUSE YOU COULDN'T EVEN DO THE EGG  
17 RETRIEVAL AND GET THE EMBRYOS. SO THE DOCTORS HAVE  
18 NO MEDICAL INTEREST ON BEHALF OF EITHER THE PATIENT  
19 OR THE RECIPIENT OR THEMSELVES TO HAVE TO DEAL WITH  
20 A CANCELED CYCLE.

21 FURTHERMORE, IF THE PATIENT GETS  
22 HYPERSTIMULATED, THEN WE HAVE TO TAKE CARE OF THEM,  
23 AND THAT MEANS, YOU KNOW, IF IT'S A SERIOUS  
24 CONDITION, WE HAVE TO CERTAINLY SEE THEM IN THE  
25 OFFICE A LOT MORE AND MAYBE PUT THEM IN THE HOSPITAL

## BARRISTERS' REPORTING SERVICE

1 AND VISIT THEM IN THE HOSPITAL AND TAKE CARE OF THEM  
2 THERE. BESIDES THE OBVIOUS FACT THAT NOBODY WANTS  
3 COMPLICATIONS, YOU CERTAINLY DON'T WANT TO HAVE TO  
4 DEAL WITH THOSE COMPLICATIONS AND POTENTIALLY DEAL  
5 WITH MEDICAL-LEGAL RISKS FROM INAPPROPRIATE  
6 TREATMENT OF THE PATIENT.

7 SO THERE ARE A NUMBER OF VERY MAJOR  
8 MOTIVATORS FOR THE PHYSICIAN NOT TO OVERSTIMULATE  
9 THE PATIENT. AND IT GOES WITHOUT SAYING THAT THE  
10 PHYSICIANS HAVE NO ECONOMIC INTEREST IN GETTING  
11 EXTRA EGGS OR EMBRYOS FOR RESEARCH BECAUSE THEY'RE  
12 NOT COMPENSATED FOR IT.

13 IN ACTUAL FACT, DONATING, TALKING TO  
14 PATIENTS, GOING THROUGH THE PROCESS OF DONATING THE  
15 EMBRYOS IS A VERY ALTRUISTIC ACTIVITY FOR PHYSICIANS  
16 BECAUSE WE GET NO MONEY FOR IT, AND IT TAKES A  
17 MASSIVE AMOUNT OF TIME TO DEAL WITH ALL THE  
18 CONSENTING AND DISCUSSION WITH PATIENTS AS WELL AS  
19 THE PAPERWORK AND THE ACTUAL TRANSFER OF IT. SO  
20 THERE'S ABSOLUTELY NO ECONOMIC BENEFIT OF TRYING TO  
21 DO IT. AND IT'S ONLY DONE FROM AN ALTRUISTIC  
22 PERSPECTIVE.

23 SO I GUESS JUST BEING SOMEONE WHO'S IN THE  
24 TRENCHES EVERY DAY DOING THIS, WHILE RECOGNIZING  
25 THAT THERE HAVE BEEN STORIES OUT THERE, AND

## BARRISTERS' REPORTING SERVICE

1 UNDOUBTEDLY THERE ARE SOME PEOPLE WHO HAVE TAKEN  
2 ADVANTAGE OF EGG DONORS, FROM ANY NORMATIVE  
3 PERSPECTIVE OF ANY OF THE PARTIES INVOLVED, I DON'T  
4 SEE ANY MOTIVATION TO DO THE WRONG THING. AND  
5 THERE'S A LOT OF MOTIVATION TO DO THE RIGHT THING.  
6 SO I WOULD BE, FROM MY PERSPECTIVE, VERY SUPPORTIVE  
7 OF NOT HAVING MORE LIMITATIONS THAN ARE NECESSARY.

8 CHAIRMAN LO: DR. ADAMSON, THANK YOU.  
9 THAT WAS VERY LUCID AND VERY HELPFUL. QUESTIONS  
10 FROM ANYONE ON THE COMMITTEE FOR DR. ADAMSON?

11 DR. TROUNSON: DAVID, DO YOU WANT TO  
12 COMMENT ON THE CONSENT PROCESS FOR RESEARCH, AND HOW  
13 WIDESPREAD THE GENERAL CONSENT IS FOR RESEARCH THAT  
14 MIGHT BE RELEVANT TO EMBRYONIC STEM CELLS?

15 DR. ADAMSON: CERTAINLY. I'M TALKING TO A  
16 GROUP OF EXPERTS ON THIS, SO I WOULDN'T WANT TO GET  
17 INTO ANY OF THE TECHNICAL REALMS OF IT. BUT WHAT I  
18 CAN SAY IS THAT WHEN WE TALK TO PATIENTS AND GIVE  
19 THEM THE MAJOR CHOICES THEY HAVE OF DISPOSITION OF  
20 EMBRYOS, CLEARLY THE FIRST ONE IS TO REPLACE THEM IN  
21 THEIR OWN UTERUS IN AN ATTEMPT TO HAVE A BABY. AND  
22 SECOND IS TO FREEZE THEM, WHICH WE CAN OFTEN DO WITH  
23 EGG DONORS, SO THAT WE CAN HAVE THEM FOR SUBSEQUENT  
24 CYCLES.

25 THE VAST MAJORITY OF PATIENTS CONSENT TO

## BARRISTERS' REPORTING SERVICE

1 EMBRYO FREEZING. THERE ARE VERY, VERY FEW WHO  
2 DON'T. I WOULD JUST ESTIMATE 1 OR 2 PERCENT AT THE  
3 MAXIMUM WHO WOULD NOT AGREE TO EMBRYO FREEZING.  
4 USUALLY ON RELIGIOUS OR MORAL GROUNDS, THEY DON'T  
5 FEEL IT'S THE RIGHT THING TO DO, BUT CERTAINLY HERE  
6 IN CALIFORNIA THAT WOULD BE, IN MY EXPERIENCE, AN  
7 EXTREMELY SMALL PROPORTION OF PATIENTS. AND THEN  
8 YOU GET INTO THE MORE DIFFICULT ONES, WHICH IS, YOU  
9 KNOW, DISCARDING THE EMBRYOS, DONATING THEM TO  
10 RESEARCH, OR DONATING THEM TO ANOTHER COUPLE.

11 IN MY PERSPECTIVE THE DONATION TO ANOTHER  
12 COUPLE SOUNDS TO MOST OF THE PATIENTS FAIRLY DISTANT  
13 BECAUSE THEY DON'T EVEN HAVE THEIR OWN CHILD, SO I  
14 THINK THAT'S AN OPTION THAT THEY HAVE A DIFFICULT  
15 TIME EMOTIONALLY UNDERSTANDING WHEN WE FIRST DISCUSS  
16 IT. OF COURSE, AT A LATER TIME, WHEN THAT CONCEPT  
17 COMES UP, THERE'S THE ISSUE THAT THE EMBRYOS THAT  
18 COULD BE DONATED TO ANOTHER COUPLE, IN FACT, WOULD  
19 BE SIBLING EMBRYOS TO THEIR OWN CHILDREN IF THEY  
20 HAVE CHILDREN BECAUSE ALMOST NOBODY DONATES UNTIL  
21 THEY'VE USED THEM ALL UP TO HAVE THEIR OWN CHILDREN,  
22 SO THEIR FAMILIES ARE COMPLETED. SO THAT'S NOT A  
23 VERY POPULAR OPTION FOR PEOPLE.

24 AND SO THEN WE'RE LEFT REALLY WITH THE TWO  
25 OPTIONS OF EITHER DONATING TO RESEARCH OR ELSE

## BARRISTERS' REPORTING SERVICE

1 DISCARDING. AND IN MY EXPERIENCE, WHEN YOU TALK  
2 ABOUT DONATING TO RESEARCH, THERE IS A GREAT DEAL OF  
3 INTEREST IN DONATING TO ANY TYPE OF STEM CELL  
4 RESEARCH BECAUSE, OF COURSE, PEOPLE ARE VERY EXCITED  
5 ABOUT WHAT THEY SEE AS THE POSSIBILITY FOR  
6 THERAPEUTIC INTERVENTION. AND I THINK ESSENTIALLY  
7 EVERYONE HAS A VERY STRONG ALTRUISTIC SENSE WHEN IT  
8 COMES TO THIS. THE INFERTILE PATIENTS WHO'VE GONE  
9 THROUGH ALL THEIR INFERTILITY, ALL THEIR TREATMENT,  
10 THEN END UP USING DONOR EGGS AND END UP HAVING A  
11 BABY, I THINK, DO HAVE A REAL INCREDIBLE SENSE OF  
12 GRATITUDE ABOUT WHERE THEY ARE. AND I THINK THAT  
13 THEY'RE VERY MOTIVATED TO TRY TO DONATE. YOU KNOW,  
14 IF THEY COULD DONATE TO RESEARCH, THEY WOULD.

15 AND I THINK THEY WOULD -- GENERALLY THE  
16 DONATION TO RESEARCH WOULD SORT OF FALL INTO TWO  
17 CATEGORIES. ARE YOU JUST GOING TO DO SOMETHING WITH  
18 THE EMBRYO IN THE LAB THAT, FOR EXAMPLE, IN THE LAB  
19 TO DO TESTING OF COMPOUNDS OR CHEMICALS OR SOMETHING  
20 IN A STANDARD KIND OF WAY. AND SOME PEOPLE ARE VERY  
21 COMFORTABLE WITH THAT, BUT THEY'RE MUCH MORE  
22 COMFORTABLE WITH THE CONCEPT THAT THEY WILL BE USED  
23 IN EXPERIMENTS THAT COULD POTENTIALLY LEAD TO REAL  
24 BENEFIT TO HUMAN KIND.

25 AND SO I THINK A VERY GENERIC CONSENTING



## BARRISTERS' REPORTING SERVICE

1 PROCESS OF THESE WILL BE USED IN STEM CELL RESEARCH  
2 WITH A VERY RESPONSIBLE BODY. I MEAN THAT'S  
3 SOMETHING THAT, FRANKLY, BECAUSE WE'RE HERE AND  
4 HISTORICALLY WE'VE BEEN ABLE TO TALK ABOUT, YOU  
5 KNOW, WE SENT OUR EMBRYOS TO UCSF, A FEW TIMES TO  
6 STANFORD, AND CERTAINLY WITH THE CIRM, IF PEOPLE  
7 KNOW THAT THERE'S A VERY, VERY LEGITIMATE BODY DOING  
8 THE RESEARCH AND OVERSEEING THE RESEARCH, MY SENSE  
9 IS THE PATIENTS, THE VAST MAJORITY OF PATIENTS, 95  
10 PERCENT PLUS WOULD BE VERY COMFORTABLE WITH A VERY  
11 GENERAL RESEARCH DIRECTIVE TO THAT TYPE OF  
12 ORGANIZATION, TRUSTING IN THE SCIENTISTS AND THE  
13 PHYSICIANS WHO TALK TO THEM THAT THE RIGHT THINGS  
14 WILL BE DONE. AND I DON'T THINK A LOT OF  
15 SPECIFICITY WOULD BE NECESSARY AT ALL.

16 AND EVEN WITH THE EGG DONORS, THAT'S  
17 PROBABLY EVEN MORE SO BECAUSE YOU DON'T HAVE  
18 EMBRYOS. YOU STILL HAVE EGGS. AND THE REALITY OF  
19 IT IS, I'M SURE EVERYBODY ON THE COMMITTEE KNOWS,  
20 BUT THE REALITY OF IT IS THE WAY OVARIAN STIMULATION  
21 WORKS, WE ONLY STIMULATE EGGS THAT ARE POTENTIALLY  
22 READY TO BE MATURED AT THAT POINT IN TIME. WE DO  
23 NOT GO FORWARD AND TAKE EGGS OUT OF THE OVARY THAT  
24 THE WOMAN WOULD HAVE FOR THE FUTURE. THERE'S NO  
25 EVIDENCE AT ALL THAT WE DO THAT.

## BARRISTERS' REPORTING SERVICE

1           IN FACT, IN REALITY WHEN WE STIMULATE THE  
2       EXTRA EGGS AND REMOVE THEM FROM THE BODY, WE'RE  
3       SIMPLY TAKING EGGS THAT WOULD HAVE DIED DURING THAT  
4       CYCLE ANYWAY. AND SO WE'RE NOT CREATING A  
5       DELETERIOUS REPRODUCTIVE SITUATION FOR THE PATIENT  
6       IN THE FUTURE. SO THERE'S IN A SENSE AN OPPORTUNITY  
7       TO HAVE SOME RESEARCH VALUE COME FROM THOSE EGGS  
8       THAT THE DONOR IS GIVING RATHER THAN HAVE THEM DIE  
9       ON THEIR OWN DURING THAT CYCLE. WHEN WE EXPLAIN  
10      THAT TO THE EGG DONOR IN TERMS OF CONSENTING,  
11      THEY'RE VERY HAPPY TO THINK, WELL, THESE EGGS ARE  
12      GOING TO DIE, OR THEY COULD POTENTIALLY HELP  
13      SOMEBODY HAVE A FAMILY, AND THEY COULD POTENTIALLY  
14      BE USED IN RESEARCH TO HELP HUMANKIND.

15           AND EVEN THOUGH THESE DONORS DO GET PAID,  
16      MAKE NO MISTAKE, THERE'S A LOT OF ALTRUISM, AND THE  
17      VAST MAJORITY, I MEAN, YOU CAN IDENTIFY SOMEONE WHO  
18      SHOWS UP ONCE IN A WHILE, IT'S ABOUT THE MONEY.  
19      MOST OF THEM DON'T MAKE IT THROUGH IT BECAUSE  
20      THERE'S TOO MUCH TO DO. THERE'S TOO MUCH OF A  
21      COMMITMENT ON THE EGG DONOR PART, THAT IF THEY DON'T  
22      HAVE SOME SUBSTANTIAL DEGREE OF ALTRUISM, I THINK  
23      HARDLY ANY OF THEM GO THROUGH IT UNLESS THEY ARE  
24      FAIRLY -- HAVE A SUBSTANTIAL COMMITMENT ON THE  
25      ALTRUISTIC SIDE OF IT.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: OKAY. THANKS. ANY OTHER  
2 QUESTIONS FROM THE COMMITTEE FOR DR. ADAMSON?

3 PROFESSOR ROBERTS: I HAVE A QUESTION.  
4 DR. ADAMSON, THIS IS DOROTHY ROBERTS. I UNDERSTOOD  
5 EVERYTHING YOU WERE SAYING ABOUT THE LOW TO NO  
6 MOTIVATION ON THE PART OF EVERYBODY TO PRODUCE EGGS  
7 FOR RESEARCH. BUT THAT SEEMED TO APPLY TO THE  
8 SITUATION WHERE THE DOCTOR, LIKE YOURSELF, WAS NOT  
9 CONNECTED TO RESEARCH AT ALL. ARE THERE SITUATIONS  
10 WHERE THE FERTILITY DOCTOR MIGHT BE EITHER A  
11 COLLEAGUE OF SOMEONE WHO IS DOING STEM CELL RESEARCH  
12 WHERE THE MOTIVATION MIGHT CHANGE, MIGHT BE  
13 DIFFERENT?

14 DR. ADAMSON: I THINK, YOU KNOW, EVERYBODY  
15 IS HUMAN. AND SO THERE'S CERTAINLY MOTIVATION. I  
16 THINK IT WOULD BE FAIR TO STATE, AND WE'VE CERTAINLY  
17 SEEN A LOT OF EXAMPLES IN THE PAPERS RECENTLY, THAT  
18 IF SOMEBODY HAD A SUBSTANTIAL FINANCIAL INTEREST IN  
19 RESEARCH THAT WERE BEING DONE, THAT THAT WOULD  
20 CLEARLY CREATE AN INDUCEMENT THAT SOME PEOPLE WOULD  
21 FIND TOO GREAT TO IGNORE. AND SO I'M NOT SO NAIVE  
22 AS TO THINK THAT IF SOME PHYSICIAN, YOU KNOW, WERE  
23 IN SOME WAY SELLING EMBRYOS TO COUNTRY X, I DON'T  
24 WANT TO NAME ONE SOMEWHERE ELSE, THAT THAT WOULD BE  
25 PROBLEMATIC.

## BARRISTERS' REPORTING SERVICE

1 SO I CERTAINLY DON'T THINK THERE SHOULD BE  
2 FINANCIAL INDUCEMENTS THAT ARE AVAILABLE TO  
3 PHYSICIANS WHO ARE DOING THAT BECAUSE I THINK THAT  
4 COULD CREATE A PROBLEM. THAT DOESN'T MEAN I DON'T  
5 THINK PHYSICIANS SHOULD GET COMPENSATED FOR A  
6 LEGITIMATE AMOUNT OF WORK THAT'S DONE. THERE'S A  
7 LOT OF WORK THAT'S REQUIRED TO CONSENT SOMEBODY AND  
8 MOVE THEM. BUT THERE CERTAINLY SHOULDN'T BE ANY  
9 TYPE OF MONEY THAT COULD BE CONSIDERED AN  
10 INDUCEMENT.

11 NOW, WHETHER YOU JUST GIVE -- YOU  
12 HYPEROVERSTIMULATE A PATIENT OR WHATEVER BECAUSE YOU  
13 HAD A COLLEAGUE, I FRANKLY CAN'T IMAGINE THAT. WHY  
14 WOULD A DOCTOR GO OUT AND PUT HIS NAME AND HIS  
15 PRACTICE AND HIS REPUTATION AT RISK, NOT TO MENTION  
16 PRACTICE BAD MEDICINE, BECAUSE SOMEBODY THEY KNEW  
17 WAS DOING STEM CELL RESEARCH? FRANKLY, THAT DOESN'T  
18 MAKE ANY SENSE TO ME AT ALL. NO, I DON'T SEE THAT.

19 I DO SEE A POTENTIAL HAZARD IF THERE'S A  
20 SIGNIFICANT FINANCIAL INDUCEMENT. BUT TO THINK THAT  
21 A DOCTOR, BECAUSE THEY'RE GOING TO GET PAID 250  
22 BUCKS FOR THE WORK INVOLVED WITH TRANSFERRING  
23 EMBRYOS FROM THEIR FACILITY TO A RESEARCH FACILITY,  
24 IS GOING TO INDUCE SOMEBODY TO HYPERSTIMULATE A  
25 PATIENT AND THEN THE NUMBER OF PHONE CALLS AND

## BARRISTERS' REPORTING SERVICE

1 OFFICE VISITS AND TRIPS TO THE HOSPITAL AND WHATEVER  
2 TO DEAL WITH THE SICK PATIENT, NO, I THINK THE  
3 PROBABILITY OF THAT IS SO CLOSE TO ZERO AS NOT TO BE  
4 IMPORTANT. WE'RE ALWAYS GOING TO HAVE PSYCHOPATHS,  
5 SOCIOPATHS, AND FELONS. I DON'T THINK WE CAN GET  
6 RID OF THEM IN ANY PROFESSION. BUT I THINK THAT THE  
7 PROCESS OF LOOKING AT THIS SHOULD LOOK AT WHAT 99  
8 PERCENT OF THE PEOPLE ARE GOING TO DO. I WOULDN'T  
9 SEE ANY AVERAGE PHYSICIAN BEING INDUCED TO UNLESS  
10 THERE WERE SIGNIFICANT AMOUNTS OF FINANCIAL BENEFIT,  
11 WHICH I THINK CLEARLY SHOULD NOT BE POSSIBLE, SHOULD  
12 BE ILLEGAL TO DO THAT.

13 CHAIRMAN LO: ANY OTHER QUESTIONS FROM THE  
14 COMMITTEE? DR. ADAMSON, WHILE WE HAVE YOU HERE, I'M  
15 GOING TO ASK IF THERE ARE ANY QUESTIONS FROM THE  
16 PUBLIC MEMBERS HERE IN DOWNTOWN SAN FRANCISCO.

17 MS. FOGEL: I'M SUSAN FOGEL. I'M WITH THE  
18 PRO-CHOICE ALLIANCE FOR RESPONSIBLE RESEARCH. AND I  
19 GUESS THE QUESTION I WANT TO RAISE IS TO GO BACK TO  
20 THIS QUESTION OF THE FACT THAT 88 PERCENT OF THE  
21 EMBRYOS ARE CREATED WITH A WOMAN'S OWN EGGS. AND IT  
22 WAS INTERESTING TO HEAR WHAT YOU HAD TO SAY, DR.  
23 ADAMSON, ABOUT THE RESEARCH BECAUSE -- ABOUT THE  
24 DESIRE TO CONTRIBUTE TO RESEARCH BECAUSE THERE IS A  
25 NEW STUDY OUT OF DUKE UNIVERSITY SHOWING THAT 60

## BARRISTERS' REPORTING SERVICE

1 PERCENT OF COUPLES WHO'VE CREATED EMBRYOS IN  
2 FERTILITY CONTEXT WOULD LIKE TO BE ABLE TO DONATE  
3 THEM TO RESEARCH. THEY DON'T HAVE A GOOD MECHANISM,  
4 AND STEM CELL RESEARCH IN PARTICULAR, THERE'S NOT A  
5 GOOD MECHANISM TO HELP THEM DO THAT.

6 SO I FEEL THAT WE'VE DRAWN A LINE ABOUT  
7 PAYMENT. AND SINCE WE'RE TALKING ONLY ABOUT 12  
8 PERCENT, I REALLY WOULD LIKE TO HEAR A LOT MORE  
9 ABOUT THE RESEARCH THAT SUGGESTS THAT THESE 12  
10 PERCENT ARE SO IMPORTANT VERSUS THE REST OF THE 88  
11 PERCENT WHEN YOU HAVE A REALLY WILLING GROUP OF  
12 PEOPLE WHO WANT TO MAKE THEM AVAILABLE FOR RESEARCH.

13 DR. ADAMSON: THANK YOU, SUSAN. I WOULD  
14 AGREE WITH YOUR COMMENTS. I THINK THAT DR. TROUNSON  
15 MENTIONED SOME OF THE REASONS THAT I THINK WE REALLY  
16 DO WANT TO BE ABLE TO OBTAIN THE EMBRYOS FROM  
17 DONORS -- THAT HAVE BEEN CREATED THROUGH DONOR EGG  
18 CYCLES THAT WERE THERAPEUTIC CYCLES IN WHICH THE EGG  
19 DONOR WAS PAID BECAUSE THE EGGS DO COME FROM YOUNGER  
20 PATIENTS, AND EVEN THOUGH IT'S A SMALLER -- IT'S A  
21 SMALL PROPORTION OF THE PATIENTS. IT'S ONLY 12  
22 PERCENT OF THE PATIENTS, BUT BECAUSE THEY'RE  
23 YOUNGER, THEY WILL MAKE MORE EMBRYOS AND THE  
24 PREGNANCY RATES ARE HIGHER.

25 AND SO PROPORTIONALLY I DON'T KNOW THE

## BARRISTERS' REPORTING SERVICE

1 NUMBER, BUT I AM ABSOLUTELY CERTAIN THAT THEY WILL  
2 PRODUCE OR HAVE STORED FROZEN SIGNIFICANTLY MORE  
3 THAN 12 PERCENT OF THE EMBRYOS.

4 SO I THINK THE EGG DONOR SOURCE OF EMBRYOS  
5 IS VERY IMPORTANT, BUT I AGREE COMPLETELY WITH YOU  
6 ABOUT FACILITATING THE OPPORTUNITIES FOR INFERTILE  
7 COUPLES WHO HAVE UTILIZED THEIR OWN GAMETES TO HAVE  
8 A FAMILY AND WHO THEN HAVE FROZEN EMBRYOS THAT THEY  
9 DO NOT WANT TO REPLACE IN THEIR OWN UTERUS BECAUSE  
10 THEIR FAMILY IS COMPLETED TO BE ABLE TO DONATE THEM  
11 MUCH MORE EASILY TO RESEARCH.

12 WE HAVE TRIED -- WHEN WE FIRST STARTED  
13 DOING THIS, IT PROBABLY SOUNDS UNBELIEVABLE, BUT WE  
14 SPENT TWO AND THREE YEARS TRYING TO GET THROUGH THE  
15 CONSENT FORMING PROCESS WITH UCSF TO TRY TO GET SOME  
16 EMBRYOS FROM THEM, WILLING PARTIES. EVERYBODY WAS A  
17 WILLING PARTY. THE DOCTORS WERE A WILLING PARTY,  
18 THE PATIENT WANTED TO DONATE, AND UCSF WANTED THEM,  
19 AND TO GO THROUGH THE PROCESSES TOOK YEARS. AND,  
20 FRANKLY, UNLESS THE PHYSICIAN AND THE PATIENTS HAVE  
21 A LARGE COMMITMENT TO THIS, IT JUST DOESN'T HAPPEN  
22 BECAUSE THE TIME AND EFFORT, AND THAT DOES TRANSLATE  
23 INTO COST FOR EVERYBODY, BECOMES TOO GREAT.

24 SO I THINK IT'S IMPERATIVE THAT WE FIND,  
25 IN MY VIEW, THAT WE FIND MUCH SIMPLER WAYS THAT

## BARRISTERS' REPORTING SERVICE

1 STILL TAKE INTO ACCOUNT THE NECESSARY CONSENTING,  
2 COUNSELING FOR THE PATIENTS SO THAT THEY ARE  
3 COMFORTABLE THAT THEY HAVE MADE THE RIGHT CHOICE FOR  
4 THEMSELVES, AND THE APPROPRIATE PROTECTIONS CLEARLY  
5 NEED TO BE IN PLACE, BUT I THINK IT IS IMPERATIVE  
6 THAT WE SOLVE THIS ISSUE.

7 CHAIRMAN LO: THANK YOU. ANY OTHER  
8 QUESTIONS, COMMENTS? ONE OTHER PUBLIC QUESTION FOR  
9 YOU, DR. ADAMSON.

10 DR. DARNOVSKY: DR. ADAMSON, THIS IS MARCY  
11 DARNOVSKY FROM THE CENTER FOR GENETICS AND SOCIETY.  
12 YOU SAID THAT YOU THOUGHT YOU COULD POTENTIALLY SEE  
13 A HAZARD IF THE DOCTOR WAS RECEIVING SOME KIND OF A  
14 FINANCIAL RETURN, A SIGNIFICANT FINANCIAL RETURN  
15 BEYOND, YOU KNOW, APPROPRIATE REIMBURSEMENT FOR HIS  
16 OR HER TIME AND EFFORTS. SO I GUESS MY QUESTION IS  
17 WOULD YOU ALSO SEE A HAZARD IF THE FERTILITY DOCTOR  
18 WAS NOT RECEIVING MONEY, BUT WAS HIMSELF OR HERSELF  
19 ENGAGED IN STEM CELL RESEARCH AS EVIDENCED BY PAPERS  
20 PUBLISHED IN PEER REVIEW JOURNALS AND THINGS LIKE  
21 THAT?

22 DR. ADAMSON: I THINK THAT THAT -- WHILE I  
23 WOULDN'T SAY THAT ANY TIME THERE WAS A RELATIONSHIP  
24 LIKE THAT THERE WAS DEFINITELY A HAZARD. I WOULD  
25 ABSOLUTELY AGREE THAT IF A PHYSICIAN WERE INVOLVED



## BARRISTERS' REPORTING SERVICE

1 IN DOING -- DIRECTLY INVOLVED IN DOING STEM CELL  
2 RESEARCH OR IN OTHER ACTIVITIES THAT CREATED  
3 ECONOMIC BENEFIT FOR THEM, THAT IT WOULD CERTAINLY  
4 BE POTENTIALLY PROBLEMATIC FOR THEM TO BE CREATING  
5 EMBRYOS.

6 NOW, I WANT TO MAKE IT VERY CLEAR THAT I  
7 USE POTENTIALLY BECAUSE I THINK IT'S INAPPROPRIATE  
8 TO CONSIDER THAT EVERY PHYSICIAN WHO IS INVOLVED IN  
9 AN ACTIVITY IS GOING TO TRY TO IDENTIFY A WAY TO,  
10 YOU KNOW, ENSURE THAT THEIR SELF-INTEREST IS  
11 OPTIMIZED POTENTIALLY AT THE EXPENSE OF OTHERS. I  
12 THINK THAT'S A PEJORATIVE PERSPECTIVE ON PHYSICIANS  
13 WITH WHICH I WOULD NOT AGREE.

14 HAVING SAID THAT, IT'S ABSOLUTELY CLEAR  
15 THAT WHERE ECONOMIC FINANCIAL INDUCEMENTS OR  
16 RELATIONSHIPS ARE SUCH THAT ONE COULD GAIN  
17 SUBSTANTIALLY FROM AN ACTIVITY IN ONE SPHERE, GAIN  
18 IN ANOTHER SPHERE, I THINK THAT ANY TYPE OF  
19 SITUATION LIKE THAT WOULD HAVE TO BE EVALUATED  
20 EXTREMELY CAREFULLY TO MAKE SURE THAT IT WAS A  
21 LEGITIMATE RELATIONSHIP. AND IT MAY WELL BE THAT A  
22 DECISION WAS MADE THAT MOST OF THE TIME OR ALL THE  
23 TIME THEIR RELATIONSHIP, EVEN IF IT APPEARED TO GIVE  
24 THE POTENTIAL OR HAZARD TO THE PATIENT, THAT IT  
25 SHOULD NOT BE ALLOWED.

## BARRISTERS' REPORTING SERVICE

1           AND I WOULDN'T -- I DON'T FEEL I'M IN A  
2           POSITION TO PROVIDE DETAILS AND EVERYTHING RIGHT AT  
3           THIS POINT, BUT, YES, I WOULD SHARE A CONCERN ABOUT  
4           A PROBLEM. AND I THINK IF SUCH RELATIONSHIPS WERE  
5           ALLOWED, THEY'D HAVE TO BE VERY, VERY CAREFULLY  
6           STRUCTURED AND THAT WHATEVER WAS STRUCTURED NOT ONLY  
7           BE LEGITIMATE AND APPROPRIATE FOR THAT INDIVIDUAL,  
8           BUT SHOULD BE FOR THE PROCESS OVERALL AND SHOULD  
9           APPEAR TO BE SO FOR THE PUBLIC CONFIDENCE IN THE  
10          ENTIRE ARRANGEMENT FOR THE EMBRYO DONATION TO  
11          RESEARCH.

12                    I THINK CREATING A LEGITIMATE PROCESS WITH  
13           INTEGRITY THAT THE PUBLIC HAS CONFIDENCE IN WOULD BE  
14           THE BEST WAY TO OPTIMIZE THE UTILIZATION OF THESE  
15           FROZEN EMBRYOS. AND I THINK THAT LIMITING CERTAIN  
16           RELATIONSHIPS OR ECONOMIC RELATIONSHIPS IN ORDER TO  
17           ACHIEVE THIS WOULD BE LEGITIMATE.

18                    CHAIRMAN LO: OTHER QUESTIONS? DR.  
19           ADAMSON, THIS IS BERNIE LO. COULD I ASK YOU A  
20           COUPLE QUESTIONS? IT SOUNDS LIKE FROM WHAT YOU SAID  
21           THAT IN THE U.S. AND PARTICULARLY FOR PHYSICIANS WHO  
22           ARE MEMBERS OF SART, THERE ARE MANY INCENTIVES FOR  
23           DOCTORS NOT TO PUT EITHER DONORS OR RECIPIENTS AT  
24           RISK IN ORDER TO FURTHER STEM CELL RESEARCH. I  
25           THOUGHT YOU LAID THAT OUT VERY NICELY.

## BARRISTERS' REPORTING SERVICE

1 I'M JUST WONDERING IF WE SHOULD HAVE  
2 CONCERNS IN SITUATIONS OTHER THAN SORT OF THE ONE  
3 YOU SKETCHED. I GUESS THE COUPLE OF QUESTIONS I  
4 WOULD LIKE TO GET YOUR THOUGHTS ON, YOU MENTIONED  
5 THAT HAVING TO REPORT OUTCOMES IN IVF CENTERS BASED  
6 ON SUCCESS RATES WHICH INCLUDE CYCLES THAT HAVE  
7 STARTED IS A BIG DISINCENTIVE TO TRYING TO  
8 OVERSTIMULATE A PATIENT AND POTENTIALLY CANCEL A  
9 CYCLE.

10 SO MY FIRST QUESTION IS SHOULD THERE BE A  
11 PROVISION IN OUR DISCUSSION THAT THE EMBRYOS SHOULD  
12 EITHER BE FROM SART MEMBER PROGRAMS OR THE PROGRAMS  
13 THAT REPORT THEIR SUCCESS RATE TO THE SART CDC  
14 DATABASE?

15 AND MY SECOND QUESTION REALLY HAS TO DO  
16 WITH INTERNATIONAL CONTEXT BECAUSE THIS IS PERHAPS  
17 AN INTERNATIONAL SITUATION. I'M JUST WONDERING IF  
18 IN OTHER COUNTRIES THE PROTECTIONS YOU OUTLINE OR  
19 THE INCENTIVES OUTLINED IN THE U.S. WOULDN'T HOLD,  
20 AND THERE WOULD BE CONCERNS ABOUT OVERSTIMULATION.

21 DR. ADAMSON: I THINK THAT, YES, I WOULD  
22 RESTRICT DONATION TO PROGRAMS THAT AGREED TO ADHERE  
23 TO CERTAIN STANDARDS. I SINCERELY HAVE SOME BIAS IN  
24 THINKING THAT SART WOULD BE A VERY APPROPRIATE  
25 PLACE, ORGANIZATION WITH WHOM TO WORK TO ESTABLISH

## BARRISTERS' REPORTING SERVICE

1 ANY ADDITIONAL STANDARDS AND TO LOOK AT THE  
2 STANDARDS THEY HAVE AND TO COMMUNICATE WITH SART AND  
3 WITH ASRM ABOUT ANY ISSUES SO THAT THEY COULD BE  
4 ADDRESSED AND MUTUALLY AGREEABLE GUIDELINES,  
5 PRINCIPLES, ARRANGEMENTS COULD BE ESTABLISHED FOR  
6 THAT. SO I THINK THAT WOULD BE VERY APPROPRIATE.

7 FROM AN INTERNATIONAL PERSPECTIVE, AND I  
8 HAVE BEEN -- I'M ON THE BOARD OF THE INTERNATIONAL  
9 COMMITTEE MONITORING ART, SO WE ACTUALLY PUBLISH THE  
10 IVF RESULTS FROM ALL AROUND THE WORLD, THE WORLD  
11 REPORT ON IVF RESULTS. SO I'VE HAD A LOT OF  
12 EXPERIENCE WITH THE INTERNATIONAL COMMUNITY IN THE  
13 PUBLISHING OF ART RESULTS THROUGH REGISTRIES AND  
14 HAVE DONE SOME WORK WITH THE WHO ON THIS AS WELL.

15 I THINK THE INTERNATIONAL SITUATION IS  
16 VERY VARIABLE. THERE ARE UNQUESTIONABLY SOME  
17 COUNTRIES FROM WHICH I THINK WE COULD HAVE A LOT OF  
18 CONFIDENCE THAT STANDARDS THAT WERE SIMILAR TO OURS  
19 WOULD BE IN PLACE AND THAT WE POTENTIALLY HAVE  
20 INTERNATIONAL ARRANGEMENTS. THERE ARE  
21 UNQUESTIONABLY SOME COUNTRIES, WHICH I PREFER NOT TO  
22 NAME AT THIS POINT, BUT WITH WHICH THERE MAY, IN  
23 FACT, BE SOME REAL CONCERN ABOUT THE REGULATORY  
24 FRAMEWORK AND THE ABILITY TO PROTECT ALL THE  
25 INVOLVED PARTIES.

## BARRISTERS' REPORTING SERVICE

1           AND SO I THINK THAT RELATIONSHIPS COULD BE  
2 ESTABLISHED, BUT IT WOULD BE IMPORTANT TO HAVE A  
3 GUIDELINE/REGULATORY FRAMEWORK UNDER WHICH THAT  
4 COULD BE DONE. AND EACH ONE WOULD HAVE TO BE  
5 ASSESSED INDIVIDUALLY.

6           CHAIRMAN LO: ANY FURTHER QUESTIONS FROM  
7 THE COMMITTEE FOR DR. ADAMSON? I WANT TO  
8 ACTUALLY -- WE ARE SCHEDULED TO END AT 1 O'CLOCK.  
9 AND SO I WOULD LIKE TO SORT OF GET US BACK TO THE  
10 SUGGESTION, THE PROPOSAL TO CHANGE THE CUTOFF DATE.  
11 AND I WANTED TO SEE IF, AFTER DR. ADAMSON'S  
12 PRESENTATION, DISCUSSION, ANYONE WANTS TO SUGGEST  
13 ANY CHANGES OR MAKE ANY COMMENTS ON THE PROPOSAL TO  
14 HAVE WHAT GEOFF HAS CALLED A ROLLING STANDARD FOR  
15 USE OF EMBRYOS? OKAY. PUBLIC COMMENT ON THE  
16 PROPOSAL?

17           MS. FOGEL: THIS IS SUSAN FOGEL AGAIN. I  
18 GUESS WE -- THE PRO-CHOICE ALLIANCE FOR RESPONSIBLE  
19 RESEARCH WOULD NOT LIKE TO SEE THE CUTOFF DATE  
20 CHANGED. WE DON'T THINK THERE'S YET BEEN SUFFICIENT  
21 EVIDENCE. I RESPECT OBVIOUSLY YOUR EXPERTISE, DR.  
22 TROUNSON, BUT I THINK THAT THE WORKING GROUP REALLY  
23 OUGHT TO LOOK MUCH MORE CAREFULLY AT THE EVIDENCE  
24 THAT THERE'S A NEED TO CHANGE IT.

25           WE'VE ALREADY HEARD ABOUT HOW THERE ARE

## BARRISTERS' REPORTING SERVICE

1 PEOPLE WHO WANT TO DONATE THEIR EMBRYOS, WHO HAVEN'T  
2 BEEN ABLE TO. APPARENTLY IT'S ALL YOUR FAULT,  
3 BERNIE, BUT I THINK THAT OTHER AVENUES OUGHT TO BE  
4 INVESTIGATED BEFORE WE CROSS THE LINE. WE ARE  
5 CROSSING A LINE ABOUT PAYMENT, AND I THINK WE HAVE  
6 TO BE REALLY CONSCIOUS AND CLEAR ABOUT THE FACT THAT  
7 WE ARE CROSSING A PAYMENT LINE, AND THAT THERE NEEDS  
8 TO BE MORE AND BETTER INFORMATION BEFORE THE WORKING  
9 GROUP BEFORE THEY RECOMMEND THAT THAT LINE BE  
10 CROSSED.

11 PROFESSOR ROBERTS: I JUST WANT TO ADD TO  
12 WHAT SUSAN IS SAYING. WHAT I FIND LACKING OR I'D  
13 LIKE TO SEE MORE ABOUT IS NOT JUST WHETHER THERE ARE  
14 WAYS SO THAT PEOPLE WHO CREATED EMBRYOS WITH THEIR  
15 OWN GAMETES AND THERE ARE NO PAID GAMETES INVOLVED  
16 COULD DONATE, BUT ALSO, THEN, THE QUESTION OF  
17 WHETHER THEIR EMBRYOS ARE OF LESS QUALITY FOR STEM  
18 CELL RESEARCH PURPOSES THAN THOSE OF PAID DONORS.  
19 AND A COUPLE PEOPLE HAVE STATED THAT. AND MAYBE I  
20 JUST DON'T HAVE ALL THE INFORMATION THAT OTHERS  
21 HAVE, BUT I JUST -- I WONDER IS THAT SOMETHING THAT  
22 HAS BEEN SHOWN IN EVIDENCE-BASED RESEARCH, OR IS IT  
23 A GENERAL SENSE THAT LOGICALLY THE YOUNGER DONORS,  
24 THAT THEIR EMBRYOS WOULD BE OF HIGHER QUALITY AND  
25 VITALITY? WHAT IS THE SCIENTIFIC BASIS FOR THAT?

## BARRISTERS' REPORTING SERVICE

1 AND ALSO, DO WE KNOW WHAT IMPACT THAT ACTUALLY HAS  
2 ON STEM CELL RESEARCH?

3 SO IS THERE EVIDENCE THAT LACKS A SUPPLY  
4 OF EMBRYOS CREATED WITH YOUNGER WOMEN'S EGGS IS  
5 HAVING A DETRIMENTAL IMPACT ON STEM CELL RESEARCH?

6 DR. ADAMSON: COULD I JUST ANSWER THAT?  
7 THIS IS DR. ADAMSON, AND I AM NOT GOING TO ANSWER  
8 THIS WITH RESPECT TO STEM CELLS BECAUSE DR. TROUNSON  
9 CAN CERTAINLY DO THAT. BUT I JUST, YOU KNOW, NEED  
10 TO MENTION THAT FROM A CLINICAL PERSPECTIVE, WE WORK  
11 WITH PATIENTS OF ALL AGES, OF COURSE, FROM EARLY  
12 TWENTIES UP THROUGH MID-FORTIES. AND FROM A  
13 BIOLOGIC PERSPECTIVE, THERE ARE LITERALLY THOUSANDS,  
14 IF NOT TENS OF THOUSANDS, OF PAPERS IN THE  
15 LITERATURE WHICH DEMONSTRATE THAT AS A WOMAN GETS  
16 OLDER, THE EGG QUALITY AS EVIDENCED BY ITS  
17 CHROMOSOMAL CONTENT AND EVIDENCED BY ITS ABILITY TO  
18 MAKE AN EMBRYO THAT WILL IMPLANT AND GROW INTO A  
19 BABY, NOT TO MENTION THE FACT THAT THE CHROMOSOMAL  
20 ABNORMALITIES GO UP AS THE EMBRYOS GET OLDER, THAT  
21 THERE IS JUST ABSOLUTELY OVERWHELMING EVIDENCE THAT  
22 THE BIOLOGIC VIABILITY AND REPRODUCTIVE POTENTIAL OF  
23 THE OLDER EMBRYO IN THE CLINICAL SITUATION IS  
24 DRAMATICALLY LESS THAN THAT OF THE YOUNGER EGG DONOR  
25 WHO COMES FROM A, QUOTE, NORMAL, QUOTE, POPULATION,

**BARRISTERS' REPORTING SERVICE**

1 NOT AN INFERTILE POPULATION.

2 SO I'M NOT TRYING TO SPEAK TO THE STEM  
3 CELL, BUT THE BIOLOGIC REALITY AND PLAUSIBILITY THAT  
4 A STEM CELL WOULD BE AFFECTED IS CLEARLY  
5 OVERWHELMING, I THINK.

6 PROFESSOR ROBERTS: IS THERE THAT  
7 CONNECTION BECAUSE YOU'RE SPEAKING OF THE POTENTIAL  
8 FOR CREATING AN EMBRYO, BUT WE'RE TALKING ABOUT  
9 EMBRYOS THAT ALREADY HAVE BEEN CREATED AND EXIST FOR  
10 STEM CELL RESEARCH. AND MAYBE I'M WRONG. ARE WE  
11 CONCERNED NOW WITH THE REPRODUCTIVE CAPACITY? ISN'T  
12 THAT DIFFERENT FROM USE FOR STEM CELL RESEARCH?

13 DR. ADAMSON: I'D LET DR. TROUNSON  
14 RESPOND. WE ARE TALKING ABOUT CELL POTENTIAL. AND  
15 CERTAINLY YOU HAVE AN EMBRYO FROM A 42-YEAR-OLD AND  
16 AN EMBRYO FROM A 23-YEAR-OLD, AND THEY'RE BOTH  
17 EMBRYOS, AND THEY CAN ACTUALLY LOOK THE SAME, BUT  
18 THEIR REPRODUCTIVE POTENTIAL IS COMPLETELY  
19 DIFFERENT. BUT I DON'T WANT TO GET PAST MY AREA,  
20 WHICH IS CLINICAL EMBRYOS AND IMPLANTATION RATES AND  
21 WHAT HAVE YOU. BUT CERTAINLY THERE'S ABSOLUTELY NO  
22 QUESTION ABOUT THAT DIFFERENTIAL, WHICH IS VERY  
23 LARGE.

24 PROFESSOR ROBERTS: I DON'T MEAN TO  
25 BELABOR THE POINT, BUT IS THAT REPRODUCTIVE



## BARRISTERS' REPORTING SERVICE

1 POTENTIAL THE SAME POTENTIAL THAT'S IMPORTANT FOR  
2 STEM CELL RESEARCH?

3 CHAIRMAN LO: I WOULD ASK ALAN TO COMMENT  
4 ON THAT.

5 DR. TROUNSON: WELL, IT IS BECAUSE THE  
6 DEGREE IN WHICH THE EMBRYO IS CABLE OF MULTIPLYING  
7 IN VIVO IS ALSO HIGHLY CORRELATED TO WHETHER THEY  
8 GROW IN THE LABORATORY AND FORM STEM CELLS. WE  
9 WOULD HAVE TO GET OUT THE LITERATURE FOR THAT, AND I  
10 DON'T KNOW HOW MUCH OF IT IS ANECDOTAL AND HOW MUCH  
11 OF IT IS REALLY PRESENT IN CAREFULLY DEFINED  
12 EXPERIMENTS. BUT TO MY KNOWLEDGE, AT LEAST WHEN I  
13 WAS MAKING EMBRYONIC STEM CELLS, THE VAST MAJORITY  
14 CAME FROM YOUNG WOMEN, AND VERY FEW CAME FROM WOMEN  
15 OVER THE AGE OF 35.

16 SO YET THE VAST MAJORITY OF EMBRYOS IN THE  
17 FREEZER, DAVID, WOULD COME FROM PATIENTS WHO ARE  
18 OVER THE AGE OF 35. SO WE COULD LOOK UP THAT  
19 INFORMATION AND PROVIDE THAT TO YOU AS BEST WE CAN.  
20 I CAN ASK GEOFF TO SCAN THE LITERATURE AND PROVIDE  
21 THAT.

22 MR. SHEEHY: COULD I ASK A QUESTION,  
23 BERNIE?

24 CHAIRMAN LO: YES, PLEASE, JEFF.

25 MR. SHEEHY: YOU KNOW WHAT WOULD REALLY

## BARRISTERS' REPORTING SERVICE

1 HELP ME IS IF -- MAYBE IF THERE WAS SOME SORT OF  
2 SUMMATION, MAYBE YOU OR GEOFF. I'M REALLY TRYING TO  
3 UNDERSTAND THE RISK-BENEFIT KIND OF BALANCING THAT  
4 WE'RE DOING HERE. WHAT ARE THE REAL RISKS OF SOME  
5 NEGATIVE CONSEQUENCE TO A DONOR IF WE MAKE THIS  
6 CHANGE? AND HOW IMPORTANT IS THE TWO YEARS? DOES  
7 IT NEED TO BE TWO YEARS? IS IT JUST SIMPLY -- WELL,  
8 I'M HAVING A LOT OF TROUBLE TODAY. MY COLD IS  
9 MUDDLING MY THOUGHTS.

10 WHAT ARE WE -- WHY ARE WE PROPOSING THIS  
11 CHANGE? IS THERE A BENEFIT TO THE PARENTS BEING  
12 ABLE TO KNOW THAT THEIR DONATION IS PART OF THIS  
13 PROCESS WHEN THEY'RE DOING IT? WHAT'S GOING ON WITH  
14 THE RECIPIENT, YOU KNOW, THE PEOPLE WHO ARE ACTUALLY  
15 HAVING THE KIDS AND HAVE THE CUSTODY OF THE EMBRYOS?  
16 YOU KNOW WHAT I MEAN? WHAT AM I BALANCING HERE?  
17 AND THEN HOW DOES THAT TIMEFRAME IMPACT THAT? IS  
18 THE TIMEFRAME FAIRLY ARBITRARY? JUST TRYING TO GET  
19 A LAY OF ALL THAT. DOES THAT MAKE SENSE, OR IS THAT  
20 TOO MUDDY?

21 DR. TROUNSON: I DON'T KNOW WHETHER DAVID  
22 ADAMSON WOULD CARE TO COMMENT ON THAT. IT WAS A  
23 LITTLE DIFFICULT TO FOLLOW THE THREADS.

24 MR. SHEEHY: IT SEEMS LIKE THAT WHAT OUR  
25 REAL BALANCE HERE IS THE REAL OR PERCEIVED RISK TO

## BARRISTERS' REPORTING SERVICE

1 THE DONOR OF THE EGGS. AND IT SEEMS TO ME THAT THE  
2 ONLY REASON TO CHANGE IT IS TO SOMEHOW -- WHY WOULD  
3 WE CHANGE IT, MAYBE CONVENIENCE? IS THERE SOME  
4 BENEFIT THAT ACCRUES TO THE PARENTS BY US CHANGING  
5 THIS? DOES IT HELP THEM IN THEIR DECISION-MAKING  
6 PROCESS, OR IS THAT IRRELEVANT?

7 CHAIRMAN LO: JEFF, LET ME TAKE A STAB AT  
8 THIS, AND OTHERS CAN CERTAINLY CORRECT ME OR  
9 SUPPLEMENT. I THINK THE RATIONALE FOR DOING THIS,  
10 AS I UNDERSTAND IT, IS, FIRST, THE ARGUMENT THAT THE  
11 PAID DONORS ARE YOUNGER AND EMBRYOS FORMED FROM  
12 THEIR OOCYTES MAY BE BETTER FOR STEM CELL RESEARCH  
13 AS THEY ARE FOR CLINICAL PURPOSES. THAT'S BEEN  
14 DISCUSSED, AND ALAN TRIED TO ANSWER THAT.

15 I THINK THE OTHER RATIONALE STRIKES ME  
16 WOULD BE THAT ONCE A WOMAN HAS MADE OR A COUPLE IN  
17 IVF IS THE RECIPIENTS NOW HAVE MADE THE DECISION NOT  
18 TO CONTINUE TO USE THESE FROZEN EMBRYOS FOR THEIR  
19 OWN REPRODUCTION AND NOT TO DONATE THEM TO ANOTHER  
20 COUPLE FOR REPRODUCTION, THIS DECISION BETWEEN  
21 EITHER LEAVING THEM IN STORAGE INDEFINITELY OR  
22 THAWING THEM AND DISCARDING THEM OR GIVING TO  
23 RESEARCH IS DIFFICULT. AND ALLOWING THAT DECISION  
24 FOR RESEARCH TO BE MADE FOR EMBRYOS THAT WERE MADE  
25 FROM PAID OOCYTE DONORS AFTER 8/08 MAY BE A BENEFIT

## BARRISTERS' REPORTING SERVICE

1 TO SOME WOMEN AND COUPLES. I THINK THAT WOULD BE  
2 THE ARGUMENT.

3 IN TERMS OF THE WHY WOULD THEY NOT WANT TO  
4 DO IT, I THINK THE ARGUMENTS I'VE HEARD IN THE  
5 DISCUSSION ARE THAT THERE ARE EVIDENTLY -- IT  
6 SOUNDED LIKE THERE WERE STILL CONCERNS ABOUT ANY  
7 PAYMENT FOR REPRODUCTIVE MATERIALS IN A RESEARCH  
8 CONTEXT EVEN THOUGH THEY ORIGINALLY WERE MEANT FOR  
9 CLINICAL PURPOSES.

10 I THINK THE SECOND THING WOULD BE DR.  
11 ADAMSON, I THINK, GAVE US A LOT OF INFORMATION TO  
12 ADDRESS THE CONCERN THAT SOMEHOW ALLOWING DONOR-PAID  
13 OOCYTE-DERIVED EMBRYOS TO BE USED FOR RESEARCH WOULD  
14 SOMEHOW PUT THE OOCYTE DONORS AT RISK. I THINK DR.  
15 ADAMSON PRESENTED ARGUMENTS THAT THE INCENTIVES  
16 WOULD NOT WORK THAT WAY AT ALL. ANOTHER CONCERN HAD  
17 BEEN RAISED FOR PROTECTION OF THE OOCYTE DONORS.

18 JEFF SHEEHY ALSO ASKED A QUESTION OF WHY  
19 TWO YEARS RATHER THAN THREE YEARS. I'M NOT SURE  
20 THERE'S AN EVIDENCE-BASED RATIONALE FOR THAT. SO I  
21 THINK THAT WAS SORT OF A FIGURE THAT WAS JUST  
22 SUGGESTED.

23 MR. SHEEHY: WE COULD ACHIEVE THE SAME  
24 THING -- I MEAN PRESUMABLY WE'VE OPENED UP A WHOLE  
25 NUMBER OF PAID-DONOR EMBRYOS WITH OUR PREVIOUS

## BARRISTERS' REPORTING SERVICE

1 SHIFT, HAVEN'T WE? IF WE JUST WAIT A YEAR, WE OPEN  
2 UP ANOTHER. I'M NOT SURE -- I'M HAVING TROUBLE  
3 UNDERSTANDING -- I'M REALLY HAVING TROUBLE  
4 UNDERSTANDING THE RATIONALE FOR THE CHANGE GIVEN  
5 THAT EVERYBODY WHO DONATED BEFORE AUGUST, THAT WE  
6 HAVE THIS WHOLE -- WE HAVE A WHOLE BUNCH OF  
7 MATERIALS THAT WERE NOT AVAILABLE FOR RESEARCH THAT  
8 WERE SUDDENLY MADE AVAILABLE FOR RESEARCH,  
9 PRESUMABLY, OR SOME NUMBER OF MATERIALS.

10 CHAIRMAN LO: JEFF, YOU'RE CERTAINLY  
11 RIGHT, IF I UNDERSTAND THE NUMBERS RIGHT, THAT WE'RE  
12 TALKING ABOUT A TWO-YEAR SORT OF WAITING PERIOD. SO  
13 YOU COULD NOT USE ANY EMBRYO CREATED ON 8/14/08  
14 UNTIL 8/14/10, SO WE DON'T HAVE TO MAKE A DECISION  
15 TODAY OR HAVE THE ICOC DO IT RIGHT NOW. SO THIS IS  
16 NOT AN URGENT MATTER. THERE'S BEEN SEVERAL PEOPLE  
17 THAT HAVE ASKED FOR MORE INFORMATION, AND WE CAN  
18 CERTAINLY COME BACK TO THAT. I THINK YOU'RE  
19 CERTAINLY RIGHT, THAT WE CAN WAIT AND SEE WHAT  
20 HAPPENS WITH THE CHANGE THAT WAS MADE LAST AUGUST.

21 MR. SHEEHY: BECAUSE WE CAN JUST AT SOME  
22 ARBITRARY POINT IN THE FUTURE MOVE THAT LINE.

23 CHAIRMAN LO: YES.

24 MR. SHEEHY: WE WILL HAVE KNOWN -- WE WILL  
25 STILL HAVE THAT ASSURANCE THAT THOSE EMBRYOS WERE

## BARRISTERS' REPORTING SERVICE

1 NOT CREATED WITH RESEARCH IN MIND BECAUSE THAT WOULD  
2 NOT HAVE BEEN POSSIBLE. AS LONG AS NO ONE  
3 ANTICIPATES US MOVING THAT LINE OR WE DECLARE THAT  
4 WE ARE GOING TO MOVE THAT LINE, THERE'S NOT ENOUGH  
5 ASSURANCE FOR ANYONE TO DO THAT, ESPECIALLY IF THEY  
6 DON'T KNOW WHEN THAT LINE IS GOING TO BE MOVED,  
7 RIGHT?

8 CHAIRMAN LO: LET ME JUST SAY, THOUGH, DR.  
9 ADAMSON, IF HE'S STILL ON THE LINE CAN ADDRESS THIS.  
10 GEOFF LOMAX RAISED THE CONCERN EARLIER THAT FROM THE  
11 POINT OF VIEW OF THE IVF CLINIC, TO GO THROUGH THE  
12 CIRM CONSENT PROCESS FOR OOCYTE DONORS WHOSE EMBRYOS  
13 IS A BIG DEAL. SO TO THE EXTENT THAT WE WANT THE  
14 WOMEN WHO ARE PAID OOCYTE DONORS FOR IVF, WHEN THEY  
15 GIVE -- BECAUSE NOW THEY'RE GOING TO HAVE TO CONSENT  
16 FOR STEM CELL RESEARCH, NOT JUST GENERAL CONSENT FOR  
17 RESEARCH IN GENERAL BECAUSE WE CHANGE THAT DEADLINE.  
18 WE WOULD WANT THAT TO BE A PRETTY DETAILED  
19 DISCUSSION. AND DR. ADAMSON ALREADY SAID, AS I  
20 UNDERSTAND IT, THAT'S NOT COMPENSATED. IT TAKES  
21 TIME TO DO WELL, AND THERE'S NOT -- THERE WOULD BE  
22 EVEN LESS INCENTIVE TO HAVE THAT DISCUSSION IF  
23 PEOPLE THOUGHT THAT THE OOCYTES -- THE EMBRYOS  
24 RESULTING FROM THOSE PAID DONORS WOULDN'T BE USED  
25 FOR RESEARCH. SO TO SIGNAL SOMEHOW THAT THERE'S

## BARRISTERS' REPORTING SERVICE

1 THAT POSSIBILITY AND SO, THEREFORE, THAT THE IVF  
2 PRACTICES SHOULD PUT REAL EFFORT INTO SORT OF MAKING  
3 THAT CONSENT FOR RESEARCH BY THE OOCYTE DONOR TO BE  
4 THOROUGH MIGHT BE A FACTOR TO CONSIDER.

5 DR. ADAMSON, WOULD YOU LIKE TO COMMENT ON  
6 THAT POSSIBILITY?

7 DR. ADAMSON: I REALLY BELIEVE THAT THE  
8 BENEFITS OF HAVING THE EMBRYOS POTENTIALLY AVAILABLE  
9 FOR DONATION FOR RESEARCH SO GREATLY OUTWEIGH ANY  
10 THEORETICAL RISKS, IN THE ABSENCE OF FELONIOUS OR  
11 PSYCHOPATHIC BEHAVIOR, WHICH CLEARLY OCCURS, BUT NOT  
12 OFTEN, THAT A GUIDELINE THAT WOULD OPTIMIZE OUR  
13 ABILITY TO CONSENT PATIENTS AHEAD OF TIME AND TO  
14 FACILITATE THE EMBRYO DONATION WOULD BE HELPFUL.  
15 AND SO I THINK THE CONCEPT OF A ROLLING TIMELINE  
16 LOOKS VERY ATTRACTIVE TO ME. I THINK IT PROVIDES  
17 EVEN ADDED PROTECTION INTO THE SYSTEM. AND  
18 CERTAINLY IF THAT WERE DONE IN CONJUNCTION WITH A  
19 LIST OF REQUIREMENTS THAT HAD TO BE FOLLOWED OR  
20 GUIDELINES, ETC., I THINK WOULD REALLY REPRESENT A  
21 PROTECTION TO THE INTEREST OF THOSE INVOLVED.

22 I'M NOT SURE IF EVERYONE IS AWARE OF THE  
23 FACT THAT EGG DONORS HAVE EXTENSIVE SCREENING  
24 ALREADY. HISTORY AND PHYSICAL EXAMINATION, THEY  
25 HAVE GENETIC -- IN OUR PRACTICE THEY HAVE GENETIC

## BARRISTERS' REPORTING SERVICE

1 QUESTIONNAIRES, THEY HAVE GENETIC COUNSELING WITH A  
2 GENETICIST AND GENETIC SCREENING, THEY HAVE  
3 INFECTIOUS DISEASE SCREENING. IN OUR PRACTICE, NOT  
4 NECESSARILY ALL OF THEM, THEY GO THROUGH DRUG AND  
5 ALCOHOL SCREENING. THEY HAVE PSYCHOLOGICAL  
6 QUESTIONNAIRES AND FORMAL ASSESSMENT AND FORMAL  
7 COUNSELING IN A SESSION WITH A MENTAL HEALTH  
8 PROFESSIONAL.

9 AND SO THERE'S A LOT THAT'S DONE, AND THE  
10 ADDITION OF, YOU KNOW, ANOTHER CONSENTING GUIDELINE  
11 OR TWO THAT WOULD ENABLE THEM TO DONATE THEIR  
12 EMBRYOS IN A VERY ETHICAL AND ALTRUISTIC WAY, I  
13 THINK, WOULD BE EXTREMELY HELPFUL. BUT AT SOME  
14 POINT, THIS IS CLEARLY AN EVOLUTIONARY ISSUE, BUT I  
15 THINK TO THE DEGREE THAT MORE CERTITUDE CAN BE  
16 BROUGHT TO THE PROCESS, THE MORE MOTIVATION THERE'S  
17 GOING TO BE FOR PHYSICIANS, EGG DONORS, AND THE  
18 RECIPIENTS TO PARTICIPATE IN IT. NOBODY WANTS TO  
19 SPEND HUGE AMOUNTS OF TIME, ENERGY, AND EFFORT  
20 DISCUSSING OPPORTUNITIES THAT MAY NEVER COME TO  
21 FRUITION.

22 CHAIRMAN LO: ONE OTHER PUBLIC COMMENT.

23 MS. SMITH-CROWLEY: SHANNON SMITH-CROWLEY  
24 ALSO REPRESENTING AMERICAN SOCIETY FOR REPRODUCTIVE  
25 MEDICINE. ONE COMMENT, ONE QUESTION.



## BARRISTERS' REPORTING SERVICE

1 THE COMMENT IS IN ORDER TO GET THE  
2 REGULATION WHERE IT IS RIGHT NOW, YOU'VE ALREADY  
3 SAID THAT WOMEN WHO ARE PAID TO DONATE FOR IVF ARE  
4 OUTSIDE OF PROP 71. YOU'VE ALREADY SAID IT'S  
5 OUTSIDE, AND WHY SHOULD WE NOT GO AHEAD AND CONTINUE  
6 THIS. AND AS DR. ADAMSON SAID, THE TWO-YEAR ROLLING  
7 TIME WOULD ALSO GIVE TIME IF THERE WERE FOUND IN  
8 THAT TIME PERIOD TO BE NEFARIOUS ACTIVITY, THAT THAT  
9 WOULD HAVE PROBABLY SHOWN UP WITHIN THOSE TWO YEARS.

10 THE OTHER QUESTION IS, MAYBE DR. TROUNSON  
11 CAN SAY, IS ARE THERE SITUATIONS WHERE EMBRYOS THAT  
12 WOULD GO THROUGH PREIMPLANTATION GENETIC DIAGNOSIS  
13 THAT WOULD BE IMMEDIATELY DISCARDED, WOULD NOT BE  
14 CONSIDERED FOR USE FOR IVF, WOULD THOSE BE SOMETHING  
15 THAT WOULD BE USEFUL IN STEM CELL LINES? AND WOULD  
16 THAT BE ANY REASON TO WAIVE A TWO-YEAR REQUIREMENT?

17 DR. TROUNSON: WELL, I THINK IN THOSE  
18 CASES, THOSE EMBRYOS ARE NOT -- THERE'S NO DONOR  
19 INVOLVED. IT'S REALLY DIRECTLY FROM THE PATIENT WHO  
20 IS AT RISK FOR THAT GENETIC DISEASE. SO I'LL HAVE  
21 TO JUST ASK GEOFF. YOU'RE SAYING COULD WE USE THEM  
22 WITHOUT FREEZING, WHICH HAS CERTAINLY BEEN DESIRABLE  
23 BY THE STEM CELL NETWORKS BECAUSE YOU GET A MUCH  
24 BETTER OUTCOME IF YOU DON'T HAVE TO FREEZE AS WELL.  
25 BUT WHAT'S OUR RULING ON THAT? IT WOULD BE

## BARRISTERS' REPORTING SERVICE

1 DIFFERENT TO WHAT WE'RE TALKING ABOUT.

2 DR. LOMAX: THERE'S NOTHING EXCEPTIONAL  
3 ABOUT PGD EMBRYO, BUT YOU EFFECTIVELY HIT THE NAIL  
4 ON THE HEAD. IN PRACTICE, THEY ARE COMING FROM  
5 NONPAID DONOR SITUATIONS, SO THEY REALLY FALL  
6 OUTSIDE SORT OF THE SET OF ISSUES THAT WE HAVE ON  
7 THE TABLE.

8 MS. SMITH-CROWLEY: SO PGD WOULD NEVER BE  
9 DONE WHEN YOU'VE GOT A DONOR EGG? IT'S LESS LIKELY  
10 BECAUSE SHE'S YOUNGER. DR. ADAMSON, MAYBE YOU WOULD  
11 BE IN THE BEST POSITION TO SAY. IS THAT TYPICAL OR  
12 VERY UNUSUAL THAT WHEN USING A DONOR EGG FOR IVF,  
13 THAT YOU WOULD ALSO GO THROUGH PREIMPLANTATION  
14 GENETIC DIAGNOSIS?

15 DR. ADAMSON: IT WOULD BE VERY COMMON. I  
16 SUPPOSE YOU COULD HAVE THE MALE EGG DONOR WITH A  
17 MALE WITH POTENTIALLY HUNTINGTON'S, SAY, THAT YOU'D  
18 DO PGD. SO I THINK YOU COULD IDENTIFY SITUATIONS.  
19 IT WOULD BE VERY UNUSUAL.

20 MS. SMITH-CROWLEY: BUT VALUABLE.

21 DR. ADAMSON: BUT I WOULDN'T SAY NEVER.

22 MS. SMITH-CROWLEY: SO SHOULD THERE BE AN  
23 EXCEPTION MADE FOR SOMETHING LIKE THAT WHERE THERE  
24 IS ABSOLUTELY NO WAY THAT THE EMBRYO IS GOING TO BE  
25 USED FOR IVF?

## BARRISTERS' REPORTING SERVICE

1 DR. ADAMSON: I GUESS MY GENERAL SENSE IS  
2 THAT AS LONG -- IF ALL THE PARTIES ARE FULLY  
3 INFORMED AND FULLY CONSENTED AND ARE ENABLED TO  
4 DONATE A RESULTANT EMBRYO, THEN I THINK IT WOULD BE  
5 APPROPRIATE TO HAVE THE REGULATORY FRAMEWORK SUCH  
6 THAT THAT'S POSSIBLE. SO THAT'S A LONG WAY TO SAY  
7 YES. BUT, YOU KNOW, IT'S A BALANCING OF THE  
8 LANGUAGE. BUT, YES, YOU WOULD WANT TO BE ABLE TO  
9 MAKE THAT POSSIBLE IF IT WOULD BE DESIRED BY THE  
10 PARTIES.

11 MS. SMITH-CROWLEY: BECAUSE YOU COULD  
12 DOCUMENT WHY THIS IS NOT JUST A GENERIC EMBRYO, THAT  
13 THIS IS WHY WE'RE NOT USING IT.

14 MR. SHEEHY: I'M WONDERING IF THE PROCESS  
15 THAT WE'RE ON NOW IS LESS ONE OF COMING TO A  
16 DECISION POINT TODAY AS MAYBE AS RATHER ONE WHERE  
17 WE'RE IDENTIFYING ISSUES THAT NEED -- THIS IS,  
18 AGAIN, ANOTHER ISSUE THAT WE HAVEN'T -- I HAVEN'T  
19 EVEN REALLY THOUGHT ABOUT. IT'S NOT REALLY INCLUDED  
20 IN THE MATERIALS THAT HAVE COME BEFORE US. I'M  
21 WONDERING IF WE WANT TO KICK THIS ONE DOWN THE ROAD  
22 A LITTLE BIT AND MAYBE GET SOME ADDITIONAL  
23 INFORMATION AND MAYBE SPEND THE REST OF OUR TIME  
24 TRYING TO IDENTIFY WHAT WE REALLY NEED TO KNOW  
25 BEFORE WE CAN COME TO A DECISION.

## BARRISTERS' REPORTING SERVICE

1 CHAIRMAN LO: I CERTAINLY, SINCE WE'VE  
2 ALREADY LOST JOSE CIBELLI, AND I THINK WE'RE  
3 ACTUALLY PAST THE CUTOFF TIME FOR THE MEETING, I  
4 WOULD BE VERY GLAD TO ENTERTAIN A MOTION TO TABLE  
5 THIS WITH THE UNDERSTANDING THAT WE WOULD POLL --  
6 I'M NOT SURE, GEOFF, WE COULD EVEN DO IT TODAY, BUT  
7 TO SORT OF POLL THE COMMITTEE OFFLINE AS TO WHAT  
8 FURTHER INFORMATION WE NEED TO PRESENT FOR ANOTHER  
9 DISCUSSION AT A LATER MEETING. I'M HEARING --

10 MS. LANSING: THIS IS SHERRY. I WOULD  
11 LIKE TO SECOND THAT, THAT WE ALL E-MAIL YOU OR  
12 WHATEVER WE HAVE TO DO TO TELL YOU WHAT OTHER ISSUES  
13 WE WANT TO DISCUSS TO MAKE AN INFORMED DECISION.

14 MR. SHEEHY: ONE THING I WOULD LIKE TO  
15 ACKNOWLEDGE IS DR. ADAMSON. I AM SO GRATEFUL. HE  
16 HAS BEEN SO HELPFUL. AND THIS IS -- IT'S VERY HARD  
17 FOR MANY OF US TO UNDERSTAND WHAT'S ACTUALLY GOING  
18 ON, AS YOU SAY, IN THE TRENCHES. AND YOUR TIME AND  
19 YOUR SERVICE HERE HAS BEEN INVALUABLE.

20 MS. LANSING: YES. I SECOND THAT.

21 CHAIRMAN LO: I THINK WE, DR. ADAMSON, I  
22 THINK WE ALL ARE VERY GRATEFUL. YOU WERE JUST SO  
23 CLEAR AND UNDERSTANDABLE ON SORT OF THE ISSUES LAID  
24 OUT, WHICH HAS REALLY HELPED US A GREAT DEAL. AND  
25 WE MAY ACTUALLY CALL ON YOU AGAIN.

## BARRISTERS' REPORTING SERVICE

1 DR. ADAMSON: THANK YOU. I'D BE HAPPY TO  
2 GIVE YOU MY THOUGHTS IF I CAN BE HELPFUL.

3 CHAIRMAN LO: MY SENSE FROM THE COMMITTEE  
4 IS THAT WE SHOULD THINK MORE ABOUT THIS, GET  
5 INFORMATION FIRST, THINK MORE ABOUT THIS, AND  
6 THERE'S NO URGENCY TO DECIDE THIS TODAY. I THINK WE  
7 DO WANT TO GET THIS RIGHT.

8 GEOFF, IS THERE ANYTHING ELSE WE NEED TO  
9 ADDRESS HERE? I THINK WE'VE LOST THE QUORUM, SO I  
10 DON'T THINK --

11 DR. LOMAX: WE WERE QUORUM LIMITED ALL  
12 DAY. THIS IS HELPFUL. WE'RE AT A REASONABLE  
13 STOPPING POINT. WE UNDERSTAND WHY WE'RE STOPPING.  
14 THE SENSE OF THE COMMITTEE REGARDING THE SOMATIC  
15 CELL ISSUES WAS EXTREMELY HELPFUL. IT GIVES US AN  
16 OPPORTUNITY TO MOVE FORWARD IN SOME AREAS THAT I  
17 THINK WILL BE VERY HELPFUL TO THE RESEARCH  
18 COMMUNITY. AND I THINK WHAT IT MEANS AT THIS POINT  
19 IS WE SIMPLY MOVE FORWARD WITH THE EXISTING RULE  
20 THAT WAS APPROVED BY THE ICOC IN AUGUST, AND WE'LL  
21 JUST START THE PROCESS OF FINALIZING THAT ONE. IT  
22 GIVES US SOMETHING THAT ADDRESSES THIS ISSUE IN THE  
23 NEAR TERM ANYWAY.

24 CHAIRMAN LO: SO WITH THAT, I WANT TO  
25 THANK YOU ALL FOR YOUR PARTICIPATION. I THINK WE

**BARRISTERS' REPORTING SERVICE**

1 ACTUALLY DID SOME VERY GOOD WORK TODAY.

2 AND ALSO I WANT TO AGAIN REMIND YOU THAT  
3 WE'RE GOING TO MEET FEBRUARY 17TH, 18TH IN LOS  
4 ANGELES. AND HOPEFULLY THE AIRLINES WILL STILL BE  
5 FLYING THEN SO WE CAN GET THERE, AND WE LOOK  
6 FORWARD.

7 MS. FOGEL: THE PROBLEM IS GOING TO BE  
8 DRIVING. THEY'LL BE MAKING NO CARS.

9 CHAIRMAN LO: THEY'LL BE MAKING GASOLINE.  
10 SHERRY, I TAKE IT YOU'VE PROMISED US GORGEOUS  
11 SOUTHERN CALIFORNIA WEATHER.

12 MS. LANSING: ABSOLUTELY.

13 CHAIRMAN LO: THANK YOU VERY MUCH.  
14 EVERYBODY HAVE A GREAT, GREAT HOLIDAY SEASON.

15 (THE MEETING WAS THEN CONCLUDED AT  
16 01:14 P.M.)

17  
18  
19  
20  
21  
22  
23  
24  
25

**BARRISTERS' REPORTING SERVICE**

**REPORTER'S CERTIFICATE**

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE SCIENTIFIC AND MEDICAL ACCOUNTABILITY STANDARDS WORKING GROUP OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

210 KING STREET  
3D FLOOR  
SAN FRANCISCO, CALIFORNIA  
ON  
DECEMBER 12, 2008

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152  
BARRISTER'S REPORTING SERVICE  
1072 BRISTOL STREET  
SUITE 100  
COSTA MESA, CALIFORNIA  
(714) 444-4100