

BEFORE THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: HILTON SFO BAYFRONT HOTEL
600 AIRPORT BOULEVARD
BURLINGAME, CALIFORNIA

DATE: WEDNESDAY, OCTOBER 9, 2013
9 A.M.

REPORTER: BETH C. DRAIN, CSR
CSR. NO. 7152

BRS FILE NO.: 92763

BARRISTERS' REPORTING SERVICE

I N D E X

ITEM DESCRIPTION	PAGE NO.
REPORTS & DISCUSSION ITEMS	
1. CALL TO ORDER.	4
2. PLEDGE OF ALLEGIANCE.	4
3. ROLL CALL.	4
4. CHAIRMAN'S REPORT.	6
5. PRESIDENT'S REPORT	12
ACTION ITEMS	
6. CONSIDERATION OF APPOINTMENT OF NEW SCIENTIFIC MEMBERS AND REAPPOINTMENT OF EXISTING MEMBERS TO THE GRANTS WORKING GROUP	35
7. CONSIDERATION OF APPOINTMENT OF A NEW ICOC PATIENT ADVOCATE MEMBER TO THE GRANTS WORKING GROUP.	37
8. REQUEST FOR CONSENT TO INITIATE RULEMAKING TO AMEND CONFLICT OF INTEREST REGULATIONS FOR NON-ICOC MEMBERS OF THE GRANTS WORKING GROUP	38
9. CONSIDERATION OF MINUTES FROM THE MAY, JULY & AUGUST ICOC BOARD MEETINGS	61
DISCUSSION ITEMS	
10. UPDATE ON CIRM'S TRANSLATIONAL PROGRAM	61

BARRISTERS' REPORTING SERVICE

I N D E X (CONT'D.)

ACTION ITEMS

11. CONSIDERATION OF PRESENTATION BY CIRM STAFF REGARDING REPORT FROM THE SCIENTIFIC ADVISORY BOARD	138
---	-----

CLOSED SESSION	NOT REPORTED
----------------	--------------

DISCUSSION ITEMS

13. PUBLIC COMMENT	NONE
--------------------	------

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

SAN FRANCISCO, CALIFORNIA;
WEDNESDAY, OCTOBER 9, 2013; 9 A.M.

CHAIRMAN THOMAS: ALL MEMBERS OF THE BOARD
PLEASE TAKE YOUR SEATS. I'D LIKE TO WELCOME
EVERYBODY TO THE OCTOBER 10TH BOARD MEETING OF THE
ICOC OR AS SOME OF US PREFER TO REFER TO IT AS TWO
DAYS AFTER JUAN URIBE'S MONUMENTAL HOME RUN THAT
SENT THE DODGERS INTO THE NLCS. NOT SINCE CURT
GIBSON HAS DODGER STADIUM SHOOK SO MUCH AS IT DID AT
THAT MOMENT. WE WOULD LIKE TO THANK ALL OF YOU
GIANT FANS FOR SENDING JUAN URIBE OUR WAY.

MR. TORRES: AND BRIAN WILSON.

CHAIRMAN THOMAS: WAS THAT BETTINA, THE
GIANTS FAN, I JUST HEARD THERE? WHO WAS THAT?

OKAY. SO FIRST ORDER OF BUSINESS HERE IS,
MARIA, WILL YOU PLEASE LEAD US IN THE PLEDGE OF
ALLEGIANCE.

(THE PLEDGE OF ALLEGIANCE.)

CHAIRMAN THOMAS: MARIA, WILL YOU PLEASE
CALL THE ROLL.

MS. BONNEVILLE: LINDA BOXER.

DR. BOXER: HERE.

MS. BONNEVILLE: SUE BRYANT.

DR. BRYANT: HERE.

BARRISTERS' REPORTING SERVICE

1 MS. BONNEVILLE: KEN BURTIS.
2 DR. BURTIS: HERE.
3 MS. BONNEVILLE: ANNE-MARIE DULIEGE.
4 DR. DULIEGE: HERE.
5 MS. BONNEVILLE: MARCY FEIT. JUDY GASSON.
6 DR. GASSON: HERE.
7 MS. BONNEVILLE: MICHAEL GOLDBERG.
8 MR. GOLDBERG: HERE.
9 MS. BONNEVILLE: SAM HAWGOOD. STEPHEN
10 JUELSGAARD.
11 MR. JUELSGAARD: HERE.
12 MS. BONNEVILLE: TED KRONIRIS.
13 DR. KRONIRIS: HERE.
14 MS. BONNEVILLE: SHERRY LANSING. BERT
15 LUBIN. MICHAEL MARLETTA. SHLOMO MELMED.
16 DR. MELMED: HERE.
17 MS. BONNEVILLE: KIRK PETERSON.
18 DR. PETERSON: HERE.
19 MS. BONNEVILLE: FRANCISCO PRIETO. CARMEN
20 PULIAFITO.
21 DR. PULIAFITO: PRESENT.
22 MS. BONNEVILLE: ROBERT QUINT. AL
23 ROWLETT.
24 DR. ROWLETT: HERE.
25 MS. BONNEVILLE: JOAN SAMUELSON. JEFF

BARRISTERS' REPORTING SERVICE

1 SHEEHY.

2 MR. SHEEHY: HERE.

3 MS. BONNEVILLE: OSWALD STEWARD.

4 DR. STEWARD: HERE.

5 MS. BONNEVILLE: JONATHAN THOMAS.

6 CHAIRMAN THOMAS: HERE.

7 MS. BONNEVILLE: ART TORRES.

8 MR. TORRES: HERE.

9 MS. BONNEVILLE: KRISTINA VUORI.

10 DR. VUORI: HERE.

11 MS. BONNEVILLE: DIANE WINOKUR.

12 CHAIRMAN THOMAS: THANK YOU. PROCEED NOW
13 TO THE CHAIRMAN'S REPORT. FIRST OF ALL, WE HAVE TWO
14 NEW MEMBERS IN ATTENDANCE, LINDA BOXER AND JUDY
15 GASSON. WOULD LIKE THEM IN TURN TO PLEASE INTRODUCE
16 THEMSELVES AND SAY A FEW WORDS ABOUT WHAT THEY DO
17 AND WHERE THEY'RE FROM.

18 DR. BOXER: LINDA BOXER FROM STANFORD.
19 I'M THE ALTERNATE FOR DEAN LLOYD MINOR, SO I'M THE
20 VICE DEAN OF THE SCHOOL OF MEDICINE SINCE SEPTEMBER
21 1ST, WORKING WITH LLOYD IN HIS FIRST YEAR AS DEAN.

22 I'M A HEMATOLOGIST, GOT MY M.D., PH.D. AT
23 STANFORD, HAVE BEEN AT STANFORD ESSENTIALLY A LONG
24 TIME, AND THEN THE CHIEF OF HEMATOLOGY FOR ABOUT TEN
25 YEARS NOW. WAS INTERIM CHAIR OF THE DEPARTMENT OF

BARRISTERS' REPORTING SERVICE

1 MEDICINE FOR A COUPLE OF YEARS AND NOW TAKING ON THE
2 ROLE OF VICE DEAN. I STILL PRACTICE AS A
3 HEMATOLOGIST WITH AN INTEREST IN HEMATOLOGY. ALSO I
4 HAVE A LAB THAT FOCUSES ON B CELL MALIGNANCIES.

5 DR. GASSON: I'M JUDY GASSON FROM UCLA,
6 AND I'M A BASIC SCIENTIST. WHEN I WAS A FULL-TIME
7 RESEARCHER, MY RESEARCH WAS ON NORMAL AND NEOPLASTIC
8 BLOOD CELL PRODUCTION, STEM CELLS. SINCE 1995 I'VE
9 BEEN THE DIRECTOR OF THE CANCER CENTER AND PRESIDENT
10 OF THE CANCER CENTER FOUNDATION. AND FOR THE LAST
11 YEAR I'VE BEEN SENIOR ASSOCIATE DEAN FOR RESEARCH IN
12 THE DAVID GEFFEN SCHOOL OF MEDICINE. AND I'M VERY
13 HAPPY TO BE HERE.

14 CHAIRMAN THOMAS: THANK YOU AND A VERY
15 PLEASANT WELCOME TO THE TEAM TO BOTH OF YOU. WE'RE
16 DELIGHTED THAT YOU'RE HERE AND PART OF OUR EFFORT.

17 YES, SENATOR TORRES.

18 MR. TORRES: AS THOSE INTRODUCTIONS WERE
19 OCCURRING, IT REMINDED ME THAT WE OWE
20 CONGRATULATIONS TO STANFORD AND USC FOR SHARING THE
21 NOBEL PRIZE IN CHEMISTRY TODAY. CONGRATULATIONS.

22 (APPLAUSE.)

23 CHAIRMAN THOMAS: THANK YOU, MR. SENATOR.

24 LIKE JUST TO START BY REFLECTING FURTHER
25 ON OUR LATE COLLEAGUE DUANE ROTH. THROUGHOUT THE

BARRISTERS' REPORTING SERVICE

1 COURSE OF THE PAST COUPLE MONTHS SINCE OUR LAST
2 BOARD MEETING, THE TRIBUTES AND COMMENTS ABOUT DUANE
3 HAVE CONTINUED TO POUR IN. BARELY A DAY GOES BY
4 WHERE I DON'T HEAR SOMEBODY COMING UP TO ME AND
5 SPEAKING ABOUT DUANE, TALKING ABOUT WHAT HE MEANT,
6 HOW IMPORTANT HE WAS TO THE MISSION, AND HOW CENTRAL
7 A FIGURE HE WAS IN THE SAN DIEGO COMMUNITY. AND I
8 WANTED TO LET EVERYBODY KNOW, IN ADDITION TO THE
9 MEASURES THAT WE DISCUSSED AT THE LAST BOARD MEETING
10 AND THINGS WE WOULD PUT IN PLACE IN DUANE'S HONOR,
11 ON DECEMBER 9TH, KRISTINA, THE AUDITORIUM AT THE
12 SANFORD CONSORTIUM FOR REGENERATIVE MEDICINE WILL BE
13 DEDICATED AND NAMED IN DUANE'S HONOR. YOU WILL BE
14 RECEIVING INVITATIONS TO THAT.

15 SO, DUANE, WE'RE CONTINUING TO THINK OF
16 YOU AND MISS YOU VERY MUCH.

17 WANTED TO SAY A COUPLE COMMENTS ABOUT SORT
18 OF THESE ARE INTERESTING TIMES FOR THE FIELD OF
19 BIOTECH AND MEDICAL RESEARCH. ON THE ONE HAND,
20 WE'VE BEEN SEEING A DRAMATIC UPSURGE IN INTEREST IN
21 BIOTECH AS REFLECTED BY THE DRAMATIC INCREASE IN
22 IPO'S IN THE AREA IN 2013. SIMILARLY, THERE HAVE
23 BEEN A SIGNIFICANT INCREASE YEAR TO YEAR IN VENTURE
24 CAPITAL THAT'S COMING INTO LIFE SCIENCES AND BIOTECH
25 IN PARTICULAR.

BARRISTERS' REPORTING SERVICE

1 WE'VE HAD, AS YOU KNOW, CALIFORNIA LEADING
2 THE WAY IN THIS. WE HAD A NICE PIECE RECENTLY THAT
3 TALKED ABOUT HOW CALIFORNIA NOW LEADS MASSACHUSETTS
4 IN BIOTECH JOB GROWTH, WHICH WE ARE VERY PROUD OF.

5 AND WE'VE FREQUENTLY SPOKEN ABOUT HOW, AS
6 BIOTECH CONTINUES IN ITS IMPORTANCE, THAT WE BELIEVE
7 THAT SOMEDAY WE'LL BE THE NEW SILICON VALLEY OF
8 CALIFORNIA. AND INTERESTINGLY ENOUGH, WE HAD
9 SOMETHING HAPPEN LAST COUPLE WEEKS THAT BROUGHT
10 SILICON VALLEY AND BIOTECH TOGETHER, WHICH WAS THE
11 ADVENT OF THE CALIFORNIA LIFE COMPANY OR CALICO,
12 WHICH WAS FUNDED BY GOOGLE VENTURES HEADED BY ART
13 LEVINSON, FORMER COLLEAGUE OF MR. JUELSGAARD,
14 CURRENT CHAIRMAN OF THE BOARD OF GENENTECH AND
15 APPLE. AND AS YOU PROBABLY SAW THE ARTICLES ABOUT
16 THAT, VERY INTERESTING UNDERTAKING WHERE BASICALLY
17 THEIR TASK IS TO FIGURE OUT HOW TO INCREASE LIFE
18 SPAN, OR AS *TIME MAGAZINE* SAID ON ITS COVER, "CAN
19 GOOGLE CHEAT DEATH?" SO YOU HAD AN INTERESTING
20 CONFLUENCE OF SILICON VALLEY AND BIOTECH WITH THAT
21 DEVELOPMENT. SO THERE'S LOTS OF VERY POSITIVE
22 THINGS IN GENERAL GOING ON IN THE AREA.

23 AGAINST THAT, HOWEVER, THERE'S SOME
24 NEGATIVE THINGS AS WELL. FIRST AND FOREMOST BEING
25 THE SEQUESTER AND WHAT IT'S DOING TO FEDERAL FUNDING

BARRISTERS' REPORTING SERVICE

1 FOR RESEARCH, WHICH IS NOTHING SHORT OF DEVASTATING.
2 PRIOR TO THE LAST WEEK'S DEVELOPMENTS BACK IN
3 WASHINGTON, THERE WAS A LOT OF CONCERN GIVEN THE
4 BUDGET FOR RESEARCH AS IT STOOD AT THAT POINT AND
5 HOW IT WOULD IMPACT MEDICAL RESEARCH. THE
6 *HUFFINGTON POST* RAN A PIECE THAT SAID AS MANY AS 20
7 PERCENT OF SCIENTISTS FUNDED BY MEDICAL RESEARCH IN
8 THE COUNTRY ARE CONTEMPLATING MOVING OUTSIDE THE
9 UNITED STATES BECAUSE OF THIS LACK OF EMPHASIS THAT
10 CONTINUES TO PLAGUE THE RESEARCH AREA IN WASHINGTON.

11 THAT'S BROUGHT HOME BY STATS HERE NEAR AND
12 DEAR TO US IN CALIFORNIA. UCSF HAS REPORTED THAT
13 HALF OF THE SCIENTISTS INVOLVED IN MEDICAL RESEARCH
14 ARE EITHER DELAYING OR CANCELING THEIR PROJECTS
15 BASED ON THIS PROBLEM WITH NIH AND THE FEDERAL
16 FUNDING. THIS OBVIOUSLY, WITH THE ADVENT OF THE
17 GOVERNMENT SHUTDOWN THE LAST FEW DAYS, IS ONLY
18 GETTING WORSE SO THAT THE FUTURE IS VERY UNCLEAR.
19 ALL OF WHICH, OF COURSE, REINFORCES THE IMPORTANCE
20 OF WHAT WE DO HERE. WE'RE FORTUNATE TO BE INSULATED
21 FROM ALL OF THE ISSUES PLAGUING THE FEDERAL
22 GOVERNMENT, AND WE HAVE SEEN OUR PRACTICE CONTINUE
23 APACE.

24 IN THE LAST COUPLE WEEKS, FOR EXAMPLE,
25 WE'VE HAD A COUPLE OF GRANTS WORKING GROUP MEETINGS,

BARRISTERS' REPORTING SERVICE

1 ONE ON DISEASE TEAM III, ONE ON BASIC BIOLOGY V, AND
2 CIRM CONTINUES ALONG IN ITS VERY IMPORTANT MISSION
3 OF ADVANCING REGENERATIVE MEDICINE RESEARCH IN THE
4 FACE OF ALL THE PROBLEMS PLAGUING THE FIELD DUE TO
5 OTHERS NOT BEING ABLE TO PUT THE EMPHASIS THAT WE
6 BELIEVE IS PROPER ON THE FIELD.

7 SO AS WE SIT HERE TODAY AND GO FORWARD, WE
8 NEED TO FEEL ACTUALLY VERY GOOD ABOUT WHAT WE'RE
9 DOING. WE ARE SORT OF ALONE IN CERTAIN RESPECTS
10 NATIONALLY BECAUSE OF THE PROBLEMS EVERYBODY ELSE IS
11 HAVING. AND WE'RE VERY FORTUNATE, AS WE ALWAYS
12 NOTE, THAT THE VOTERS HAD THE FORESIGHT TO FUND THIS
13 WONDERFUL UNDERTAKING. AND WE CONTINUE ALONG IN
14 THAT REGARD.

15 SO I THINK THAT THE LAST THING I WANT TO
16 SAY ON THE SUBJECT, AND I DON'T WANT TO SAY TOO MUCH
17 BECAUSE KEVIN IS GOING TO REPORT IN ON THIS, BUT
18 PART AND PARCEL OF WHAT WE'VE BEEN DOING AS WE MARCH
19 ALONG AND OUR WORK CONTINUES TO MORE AND MORE HEAD
20 TOWARDS THE CLINIC, ETC., WE'RE PUTTING EVER MORE
21 EMPHASIS ON GETTING THE WORD OUT ABOUT WHAT WE'RE
22 DOING. YOU'LL HEAR FROM KEVIN ABOUT THE SECOND IN
23 THE SERIES OF PATIENT ADVOCATE MEETINGS, THIS ONE
24 HELD AT USC -- THANK YOU, CARMEN -- AS WELL AS A
25 TREMENDOUS AND EXTREMELY SUCCESSFUL TOWN HALL HELD

BARRISTERS' REPORTING SERVICE

1 LAST WEEK, WHICH, KEVIN, I'M SURE JEFF WILL WANT TO
2 TELL US ALL ABOUT AS HE LED THIS TERRIFIC EFFORT TO
3 EDUCATE THOSE ON PROGRESS MADE IN THE HIV SPACE,
4 WHICH INCLUDED A NUMBER OF CIRM-FUNDED PROJECTS.

5 SO VERY INTERESTING TIMES. I THINK THAT
6 WE NEED TO KEEP OUR NOSE TO THE GRINDSTONE. YOU
7 WILL HEAR TODAY ABOUT SOME RECOMMENDATIONS, THE
8 FIRST WAVE OF DISCUSSIONS ON PRIORITIZATION OF WHERE
9 WE GO FROM HERE GIVEN THE STATUS OF OUR FUNDING
10 GOING FORWARD. AND I THINK THAT THAT WILL BE A MOST
11 INTERESTING DISCUSSION WHICH WILL BE TEED UP TODAY
12 AND CONTINUED TO THE DECEMBER BOARD MEETING WHERE WE
13 WILL MAKE SOME HARD DECISIONS ON STRATEGIC
14 DIRECTION.

15 SO WITH THAT, AGAIN, WELCOME EVERYBODY.
16 I'D LIKE TO TURN OVER NOW TO ELLEN TO GIVE THE
17 PRESIDENT'S REPORT. DR. FEIGAL.

18 DR. FEIGAL: WELL, THANK YOU VERY MUCH AND
19 GOOD MORNING. AND I JUST WANT TO START OUT BY
20 SAYING ALAN TROUNSON IS SORRY HE COULDN'T BE HERE
21 TODAY. HE'S ACTUALLY AT THE HERRENHAUSEN SYMPOSIUM
22 IN GERMANY, WHICH IS A SYMPOSIUM THAT'S FOCUSED ON
23 STEM CELLS AND REGENERATIVE MEDICINE, LOOKING AT THE
24 GAPS AND OPPORTUNITIES TO MOVE THE STEM CELL FIELD
25 FORWARD. SO WE LOOK FORWARD TO HIM COMING BACK TO

BARRISTERS' REPORTING SERVICE

1 REPORT BACK ON HIS LEARNINGS FROM THAT CONFERENCE.

2 WHAT I'D LIKE TO DO IS SHARE WITH YOU SOME

3 VIGNETTES OF SOME IMPORTANT SCIENCE THAT'S TAKEN

4 PLACE IN THE FIELD LATELY AND HAS BEEN PUBLISHED.

5 THE FIRST ONE THAT I WANT TO TALK ABOUT IS AN

6 ARTICLE THAT WAS PUBLISHED IN *NATURE* ABOUT A MONTH

7 AGO ON IPS CELLS FORMING ORGANOIDs WITH MULTIPLE

8 TYPES OF BRAIN CELLS. AND THIS RESEARCH WAS DONE BY

9 A TEAM THAT'S LED BY DR. KNOBLICH AT THE AUSTRIAN

10 ACADEMY OF SCIENCES IN VIENNA.

11 AND IT USED AN IPS-TYPE CELL TO PRODUCE

12 BRAIN ORGANOIDs IN THE LAB TO SERVE AS A MODEL FOR

13 NORMAL BRAIN DEVELOPMENT AND USED THE MODEL TO

14 REVEAL A POSSIBLE CAUSE OF THE SMALL BRAIN BIRTH

15 DEFECT KNOWN AS MICROCEPHALY. AS I NOTED, THEY

16 PUBLISHED THE ARTICLE JUST A FEW WEEKS AGO.

17 THIS TEAM BUILT ON SEVERAL RECENT REPORTS

18 THAT HAVE SHOWN THE STRONG CAPACITY FOR STEM CELLS

19 TO SELF-ORGANIZE INTO MULTIPLE LAYERS OF TISSUES IF

20 THEY ARE GIVEN THE RIGHT ENVIRONMENT. SO THE

21 PEA-SIZED BRAIN TISSUES THAT THEY CREATED AND CALLED

22 CEREBRAL ORGANOIDs ARE THE MOST COMPLEX NEURAL

23 STRUCTURES SO FAR. THEY VERIFIED THE ORGANOIDs

24 CONTAIN SEVERAL TYPES OF BRAIN CELLS, AND THE

25 DIFFERENT TYPES OF CELLS SEEM TO INTERACT, ALTHOUGH

BARRISTERS' REPORTING SERVICE

1 THE ORGANIZATION OF THE VARIOUS CELLS DID NOT MATCH
2 THE HUMAN BRAIN. THEY ALSO DID NOT FORM BLOOD
3 VESSELS WHICH PROBABLY ACCOUNTS FOR THE LIMITED SIZE
4 OF THE ORGANOIDS.

5 ONE KEY TO THE RESEARCH PROTOCOL, AS WITH
6 MOST OF THE GROUPS WHO ARE BUILDING MORE COMPLEX
7 TISSUES, WAS TO GROW THE CELLS IN A
8 THREE-DIMENSIONAL CULTURE. THEY USED A GEL THAT
9 SOMEWHAT MIMICKED THE CONNECTIVE TISSUE THAT WOULD
10 BE FOUND IN THE DEVELOPING BRAIN, AND THE CELLS
11 FORMED THE INITIAL ORGANOIDS VERY QUICKLY, WITHIN
12 EIGHT TO TEN DAYS, AND WENT ON TO DEVELOP DISTINCT
13 NERVE TISSUES WITHIN 20 TO 30 DAYS. AND ALTHOUGH
14 THEIR SIZE WAS LIMITED, THEY LOOK LIKE THEY CAN
15 SURVIVE INDEFINITELY, CURRENTLY UP TO ABOUT TEN
16 MONTHS.

17 SO THIS IS REALLY JUST TO GIVE YOU A TASTE
18 THAT THE RESEARCH HAS CREATED A VERY ELEGANT MODEL
19 FOR STUDYING BRAIN DEVELOPMENT AS WELL AS TRYING TO
20 UNDERSTAND ERRORS IN BRAIN DEVELOPMENT.

21 THE NEXT VERY INTERESTING RESEARCH PIECE
22 WAS AN ARTICLE FROM MICHAEL CLARK FROM STANFORD THAT
23 WAS PUBLISHED IN *NATURE* ABOUT A MONTH AGO LOOKING AT
24 A STEM CELL DEFECT THAT'S LINKED TO DOWN'S SYNDROME
25 AND IN SOME POINTS TO POTENTIAL THERAPY. THIS TEAM

BARRISTERS' REPORTING SERVICE

1 WAS PARTIALLY FUNDED BY CIRM. IT WAS LED BY MICHAEL
2 CLARK AT STANFORD.

3 AND HE FOUND A GENE THAT LEADS TO DEFECTS
4 IN STEM CELLS THAT COULD ACCOUNT FOR SOME OR IS
5 THOUGHT TO ACCOUNT FOR SOME OF THE PREMATURE AGING
6 AND OTHER SYMPTOMS SEEN IN DOWN'S SYNDROME.

7 AND CLARK'S TEAM DIDN'T REALLY SET OUT TO
8 STUDY THE DOWN'S SYNDROME LINK. THEY WERE ACTUALLY
9 CONDUCTING THEIR USUAL LINE OF STUDY RELATED TO
10 CANCER. AND SPECIFICALLY THEY WERE LOOKING AT THE
11 GENETIC REGULATION OF GROWTH AND SELF-RENEWAL IN
12 NORMAL STEM CELLS AND IN CANCER STEM CELLS. AND
13 THEY FOUND A GENE THAT SEEMED TO PLAY A ROLE. IT'S
14 CALLED USP-16. AND IT WAS ON CHROMOSOME 21, THE
15 CHROMOSOME THAT HAS AN ABNORMAL THIRD COPY IN PEOPLE
16 WITH DOWN'S SYNDROME.

17 SO WITH ANOTHER GROUP ON CAMPUS THAT HAS
18 MOUSE MODELS OF DOWN'S SYNDROME, INCLUDING ONE THAT
19 HAD THREE COPIES OF THE USP-16 GENE, THEY TOOK CELLS
20 FROM THE BRAINS OF THESE YOUNG MICE AND LOOKED AT
21 THE ABILITY OF THE INTERMEDIATE NEUROPROGENITOR STEM
22 CELLS TO GROW. IN CULTURE THESE CELLS FROM NORMAL
23 MICE WILL FORM CLUMPS CALLED NEUROSPHERES, BUT ONLY
24 ONE OUT OF ABOUT A THOUSAND OF THE CELLS WITH THE
25 EXTRA USP-16 GENE WERE ABLE TO MATURE LIKE THIS.

BARRISTERS' REPORTING SERVICE

1 AND BY CONTRAST, ONE OF ABOUT 21 ABNORMAL BRAIN
2 CELLS DID SO.

3 SO TO FURTHER VERIFY THE ROLE OF THE EXTRA
4 COPY OF THE GENE, THE GROUP BRED MICE IN WHICH THE
5 GENE WAS NOT ACTIVE ON ONE OF THE THREE COPIES OF
6 THE CHROMOSOME. AND IN BRAIN CELLS FROM THOSE MICE
7 THE ABILITY OF THE PROGENITOR STEM CELLS TO GROW
8 RETURNED TO NORMAL. AND THIS DEFECT APPEARS TO
9 AFFECT STEM CELLS THROUGHOUT THE BODY. SO THEY ALSO
10 LOOKED AT THE HYPOTHESIS IN HUMAN SKIN CELLS, AND
11 THEY FOUND THAT IN NORMAL HUMAN TISSUE, WHEN THE
12 CELLS ARE MANIPULATED SO THAT AN EXTRA COPY OF THIS
13 GENE IS TURNED ON, THE CELLS DON'T PROLIFERATE
14 NORMALLY.

15 SO THIS IS REALLY JUST SOME VERY
16 INTRIGUING RESEARCH TO SUGGEST THAT THERE MIGHT BE A
17 WAY TO REDUCE THE LEVEL OF THE PROTEIN CODED BY
18 USP-16 IN PEOPLE WITH DOWN'S SYNDROME AS A POTENTIAL
19 WAY OR APPROACH TO AMELIORATE AT LEAST SOME OF THE
20 SYMPTOMS OF THE CONDITION.

21 THE THIRD STUDY THAT I WANTED TO TELL YOU
22 ABOUT IS REALLY A STUDY THAT WAS DONE BY KEN CHIEN
23 AT HARVARD AND REPORTED IN *NATURE BIOTECH* ALSO LAST
24 MONTH. HIS COLLEAGUES AND ALSO COLLEAGUES AT THE
25 KAROLINSKA IN SWEDEN USED GENETIC MANIPULATION TO

BARRISTERS' REPORTING SERVICE

1 GET NATIVE HEART STEM CELLS TO PRODUCE HEALTHY NEW
2 BLOOD VESSELS AT THE SITE OF AN INDUCED HEART ATTACK
3 IN MICE.

4 THEY TARGETED A PROTEIN CALLED VEG-F THAT
5 HAD PRODUCED MODEST OR VERY POOR RESULTS IN THE
6 PAST. VEG-F IS JUST AN ABBREVIATION FOR VASCULAR
7 ENDOTHELIAL GROWTH FACTOR. AND IT WOULD SEEM TO BE
8 A LOGICAL CHOICE IF YOU WANT TO CREATE NEW BLOOD
9 VESSELS TO GROW INTO HEART MUSCLE RATHER THAN SCAR
10 TISSUE. BUT WHEN THIS PROTEIN WAS INJECTED
11 DIRECTLY, IT DID NOT SEEM TO SURVIVE LONG ENOUGH TO
12 DO MUCH GOOD. AND WHEN THE DNA FOR THE GENE WAS
13 INSERTED, IT SEEMED TO OVEREXPRESS AND RESULT IN TOO
14 MANY VESSELS THAT WERE LEAKY AND CAUSED EDEMA.

15 SO CHIEN AND HIS TEAM DECIDED TO USE A
16 SYNTHETIC FORM OF RNA, THE INTERMEDIATE GENETIC
17 MATERIAL THAT CARRIES THE CODE FOR THE PROTEIN, INTO
18 THE PART OF THE CELL WHERE THE PROTEIN COULD BE
19 ASSEMBLED. AND THE ADVANTAGE OF THE RNA CONSTRUCT
20 WAS THAT THE SIGNAL TO PRODUCE THE PROTEIN COULD BE
21 PULSED, AND IT RESULTED IN SHORT BURSTS OF
22 EXPRESSION. SO THAT IN MICE THAT HAD AN INDUCED
23 HEART ATTACK, THE TREATMENT ACTUALLY REDUCED THE
24 INFARCT SIZE AND IMPROVED SURVIVAL.

25 THE LAST REALLY RESEARCH FINDING THAT I

BARRISTERS' REPORTING SERVICE

1 WANT TO REPORT TO YOU WAS WORK THAT WAS DONE BY HANS
2 CLEVERS AT THE HUBRECHT INSTITUTE IN THE
3 NETHERLANDS. AND THIS IS WORK THAT ACTUALLY BUILT
4 ON THEIR PRIOR WORK TO CREATE COMPLEX TISSUES TO
5 ISOLATE PROGENITOR STAGE STEM CELLS IN THE PANCREAS
6 THAT ARE ABLE TO FORM TWO KEY ISSUES OF THE ORGAN,
7 BETA CELLS AND DUCT CELLS. AND THEY USED MOUSE
8 CELLS AND PUBLISHED THEIR WORK IN EMBO LAST MONTH.

9 HIS PRIOR WORK HAD ELUCIDATED AND USED AN
10 INTERPLAY IN THE CELL'S INTERNAL SIGNALING. IT'S
11 KNOWN THAT VERY SPECIFIC SIGNALS ARE NECESSARY TO
12 ACTIVATE THE ADULT STEM CELLS. IN PROLIFERATING
13 ADULT STEM CELLS, THE GENE KNOWN AS WNT IS TURNED
14 ON, AND THE CELL SURFACE HAS A RECEPTOR FOR PROTEINS
15 THAT PROMOTE WNT CALLED R-SPONDINS. AND PREVIOUSLY
16 HE HAD GROWN INTESTINAL STEM CELLS IN
17 THREE-DIMENSIONAL CULTURES ALONG WITH THIS R-SPONDIN
18 AND CREATED VERY COMPLEX INTESTINAL TISSUE.

19 BUT THE PANCREAS IN NORMAL SITUATIONS DOES
20 NOT HAVE CELLS WITH ACTIVE WNT OR THE R-SPONDIN
21 RECEPTOR. SO IT HAS BEEN VERY HARD TO ISOLATE
22 PANCREATIC PROGENITOR STEM CELLS THAT CAN BE
23 EXPANDED IN THE LAB.

24 NOW HIS TEAM HAS SHOWN THAT IF YOU INJURE
25 THE DUCT OF THE PANCREAS, YOU INDUCE THIS RECEPTOR

BARRISTERS' REPORTING SERVICE

1 AND IN TURN WNT AND THAT YOU CAN ALSO INDUCE THE
2 RECEPTOR BY BREAKING UP THE DUCT TISSUE AND GROWING
3 IT IN THE LAB IN CULTURES RICH IN R-SPONDIN.

4 SO THESE ARE JUST SOME EXAMPLES OF SOME
5 VERY INTERESTING WORK THAT'S BEEN GOING ON THAT HAVE
6 RELEVANCE TO THE STEM CELL FIELD, SOME OF WHICH WAS
7 PARTIALLY FUNDED BY CIRM, BUT THINGS THAT I THINK
8 WOULD BE OF INTEREST TO YOU IN REALLY TRYING TO
9 ADVANCE THE FIELD IN MOVING FORWARD.

10 WHAT I'D NOW LIKE TO DO IS REALLY GO
11 THROUGH SOME OF OUR SCIENTIFIC PROGRAMS AND GIVE YOU
12 SORT OF A LIST OF THE CALENDAR OF EVENTS OF WHAT TO
13 EXPECT IN THE NEXT SIX MONTHS OR SO.

14 THE FIRST ONE THAT I WANT TO MENTION IS
15 THE DISEASE TEAM III. THIS GROUP OF DISEASE TEAM
16 AWARDS WAS ACTUALLY ALSO NAMED IN HONOR OF DUANE
17 ROTH. AND JUST FOR THOSE OF YOU WHO ARE NEW
18 MEMBERS, HE WAS A BOARD MEMBER THAT WAS VERY WELL
19 KNOWN IN THE SAN DIEGO COMMUNITY AND ALSO WAS JUST A
20 REAL MOVER AND SHAKER FOR HAVING US DEVELOP THESE
21 DISEASE TEAM PROGRAMS. AND WE THOUGHT IT WOULD BE
22 SOMETHING HE WOULD HAVE APPRECIATED TO HONOR HIM IN
23 THIS WAY BY NAMING THE AWARD AFTER HIM.

24 THESE DISEASE TEAM AWARDS, WE'RE GOING
25 THROUGH, WE'VE ALREADY HAD THE REVIEW, WE'RE PLOWING

BARRISTERS' REPORTING SERVICE

1 THROUGH THE DIFFERENT SUMMARIES. WE'LL BE TAKING
2 THIS TO THE BOARD IN DECEMBER OF THIS YEAR.

3 THE NEXT GROUP OF BIG INITIATIVES IS
4 REALLY THE FIFTH ITERATION OF OUR BASIC BIOLOGY.
5 AND IN THIS THE ICOC FUNDING DECISION IS PLANNED FOR
6 JANUARY, SO EARLY NEXT YEAR.

7 THE GENOMICS INITIATIVE, WHICH WAS REALLY
8 TO ADVANCE THAT INTERFACE BETWEEN GENOMICS AND STEM
9 CELL BIOLOGY, IS GOING TO BE REVIEWED IN NOVEMBER,
10 AND THEN THE RECOMMENDATIONS FROM THAT REVIEW WILL
11 BE BROUGHT BACK TO THIS BOARD SOMETIME IN THE NEXT
12 SEVERAL MONTHS.

13 AND THE STRATEGIC PARTNERSHIP III IS GOING
14 THROUGH REVIEW -- WILL GO THROUGH REVIEW IN FEBRUARY
15 OF 2014.

16 RESEARCH LEADERSHIP, AN EXTENSION OF THOSE
17 AWARDS FOR CAREER DEVELOPMENT AND REALLY GETTING
18 SOME OF THE RAINMAKERS INTO THE FIELD AND HELP
19 EXPAND AND POPULATE THE FIELD OF STEM CELL RESEARCH,
20 IS GOING TO BE REVIEWED IN MARCH OF 2014.

21 I ALSO WANT TO ACKNOWLEDGE THE SCIENCE
22 OFFICERS WHO ARE WORKING ON THESE DIFFERENT
23 INITIATIVES. FOR DISEASE TEAM III IT'S BEEN
24 DR. BETTINA STEFFEN AND KEVIN WHITTLESEA, WHO HAVE
25 BEEN REALLY LEADING THE CHARGE IN MAKING THIS GO

BARRISTERS' REPORTING SERVICE

1 FORWARD.

2 FOR BASIC BIOLOGY V IT'S BEEN DR. KELLY
3 SHEPHERD. FOR THE GENOMICS IT'S DR. MICHAEL YAFFE.
4 FOR THE STRATEGIC PARTNERSHIP IT'S BEEN DR. INGRID
5 CARAS, WHO'S BEEN LEADING IT, WITH A GREAT DEAL OF
6 SUPPORT FROM DR. KATHERINE PRIEST. AND FOR THE
7 RESEARCH LEADERSHIP EXTENSION, IT'S BEEN DR. MICHAEL
8 YAFFE.

9 THE OTHER INITIATIVES THAT ARE GOING
10 THROUGH ARE THE TOOLS AND TECHNOLOGIES, THE THIRD
11 ITERATION. THIS IS BEING MOVED ALONG BY SCIENCE
12 OFFICER DR. LILA COLLINS, AND WE PLAN ON POSTING
13 THAT RFA THIS MONTH. AND THE ALPHA CLINICS, WHICH
14 IS BEING WORKED BY THE MEDICAL AND SCIENCE OFFICERS
15 DR. MARIA MILLAN AND DR. NATALIE DEWITT, IS BEING
16 FINALIZED FOR RFA POSTING ALSO LATER THIS MONTH.

17 WE'VE BEEN BUSY WITH OUR PUBLIC OUTREACH
18 AND ENGAGEMENT. I KNOW THAT JONATHAN THOMAS, OUR
19 CHAIR, HAS MENTIONED THAT. AND IT SOUNDS LIKE KEVIN
20 MCCORMACK MIGHT GO INTO MORE DETAIL ABOUT IT AS
21 WELL. BUT I DO WANT TO MENTION SOME OF JUST THE KEY
22 POINTS WHICH MIGHT BE ELABORATED ON LATER. AS I
23 THINK YOU ALL KNOW, OCTOBER 2D WAS STEM CELL
24 AWARENESS DAY. WE HAD 20 EVENTS IN FOUR COUNTRIES
25 AND FOUR U.S. STATES. WE REACHED MORE THAN 4500

BARRISTERS' REPORTING SERVICE

1 HIGH SCHOOL STUDENTS IN CALIFORNIA.

2 WE ALSO HAD A PATIENT ADVOCATE DAY IN LOS
3 ANGELES, AND CHAIRMAN THOMAS WAS REALLY ONE OF THE
4 FEATURED MEMBERS OF THAT PATIENT ADVOCATE DAY.
5 PEOPLE COULD COME AND JOIN IN A ROUNDTABLE
6 DISCUSSION. IT'S MY UNDERSTANDING, I WASN'T ABLE TO
7 BE THERE, BUT IT'S MY UNDERSTANDING FROM THE PEOPLE
8 THAT DID ATTEND THAT IT WAS A VERY CONSTRUCTIVE,
9 VERY INTERACTIVE EVENT AND WILL REALLY CONTINUE IN
10 SUBSEQUENT ITERATIONS IN OTHER CITIES.

11 I ALSO WANT TO MENTION WE HELD A PUBLIC
12 TOWN HALL ON HIV CURE RESEARCH. HERE YOU SEE THE
13 PHOTOS OF THE DIFFERENT SCIENTISTS THAT PARTICIPATED
14 IN THE PANEL. AND I'LL GO THROUGH THEIR NAMES. BUT
15 NOT TO BE FORGOTTEN, THE CIRM FAMILY WHO ALSO
16 ACTIVELY PARTICIPATED IN ORCHESTRATING,
17 COORDINATING, AND PARTICIPATING IN THE EVENT, JEFF
18 SHEEHY INTRODUCED THE WHOLE DAY OR THE WHOLE SEMINAR
19 ACTUALLY, IT WAS LATER EVENING THAT DAY, AND REALLY
20 PROVIDED HIS PERSPECTIVES AND INSIGHTS FROM THE HIV
21 COMMUNITY AND HIS WORK IN THIS AREA. ALAN TROUNSON
22 ALSO JOINED THE PANEL, AND THEN OUR OWN MEDICAL
23 OFFICER MARIA MILLAN VERY SKILLFULLY MODERATED THIS
24 VERY ENGAGING SET OF INTERACTIONS.

25 THE PHOTOS OF THE DIFFERENT PEOPLE THAT

BARRISTERS' REPORTING SERVICE

1 JOINED ON THE PANEL WERE FROM ALL OVER THE COUNTRY.
2 THEY INCLUDED STEVE DEEKS AND MIKE MCCUNE FROM UC
3 SAN FRANCISCO, WARNER GREENE FROM THE GLADSTONE,
4 HANS-PETER KIEM FROM THE FRED HUTCHISON IN SEATTLE,
5 AND LOUIS BRETON FROM CAL-IMMUNE, WHICH HAS THE
6 CLINICAL TRIAL IN HIV THAT CIRM IS FUNDING.

7 IN ADDITION, CIRM HAS BEEN TRYING TO PUT
8 TOGETHER MORE MINI SYMPOSIA. IT TAKES QUITE A WHILE
9 TO ORGANIZE A LOT OF THE VERY MAJOR CONFERENCES,
10 MAKE SURE ALL THE DIFFERENT SCHEDULES LINED UP. ONE
11 OF THE THINGS WE'RE EXPERIMENTING WITH TO GET MORE
12 EXTERNAL INPUTS ON WAYS THAT WE SHAPE OUR SCIENCE IS
13 REALLY PUTTING TOGETHER MINI SYMPOSIA. ONE OF THE
14 FIRST ONES WE HELD WAS IN AUGUST, AND IT WAS CALLED
15 "BREAKING THE BOTTLENECK," LOOKING AT THE WAY TO
16 DERIVE DEFINITIVE HEMATOPOIETIC STEM CELL LINEAGES
17 FROM HUMAN PLURIPOTENT STEM CELLS. SO IT'S BEEN A
18 VERY, I GUESS, WHAT YOU MIGHT CALL THE HOLY GRAIL IS
19 TO TRY AND FIGURE OUT HOW TO DEVELOP THIS
20 PLURIPOTENT HEMATOPOIETIC STEM CELL THAT COULD GO TO
21 ALL THE DIFFERENT LINEAGES FROM HUMAN PLURIPOTENT
22 STEM CELLS.

23 THE GOAL OF THIS WORKSHOP WAS REALLY TO
24 DEFINE AND DISCUSS SOME OF THE KEY SCIENTIFIC AND
25 TECHNICAL BOTTLENECKS THAT PREVENT SUCCESSFUL

BARRISTERS' REPORTING SERVICE

1 DERIVATION OF THESE FULLY FUNCTIONAL HSC LINEAGES
2 FROM PLURIPOTENT STEM CELLS AND HOW CIRM MIGHT ACT
3 TO ADDRESS THESE CHALLENGES. THIS COULD HAVE
4 IMPACTS NOT ONLY ON BASIC AND DEVELOPMENTAL BIOLOGY,
5 BUT ALSO ON TRANSLATION OF STEM CELL SCIENCE FROM
6 THE BENCH TO THE BEDSIDE FOR MANY HEMATOLOGIC AND
7 NONHEMATOLOGICAL DISEASES, INCLUDING IMPORTANT
8 ERRORS IN METABOLISM AND GENETIC DISEASES.

9 THERE WERE PRESENTATIONS FROM SIX EXTERNAL
10 THOUGHT LEADERS, INCLUDING FOUR CIRM INVESTIGATORS,
11 AND THEN THERE WAS A VERY CONSTRUCTIVE AND
12 PRODUCTIVE INTERACTIVE PANEL DISCUSSION.

13 THE PLAN IS TO REALLY TRY AND CAPTURE THE
14 HIGHLIGHTS OF THIS, TO DISSEMINATE THE RESULTS IN A
15 WHITE PAPER, AND TO THINK OF WAYS THAT THERE MIGHT
16 BE ACTUAL ITEMS IN TERMS OF CONSIDERING SHAPING THIS
17 AS ONE OF OUR PRIORITIES IN AN UPCOMING RFA AND A
18 VARIETY OF OTHER WAYS TO PROMOTE INNOVATIVE
19 COLLABORATIONS IN THIS AREA.

20 ANOTHER WAY THAT CIRM REALLY WORKS TO TRY
21 AND HELP OVERCOME THE CHALLENGES AND SOME OF THE
22 GAPS AND OBSTACLES IN MOVING STEM CELL SCIENCE
23 FORWARD IS DEALING WITH REGULATORY AGENCIES.
24 THERE'S A GREAT DEGREE OF REGULATORY UNCERTAINTY
25 WITH PIONEERING, INNOVATIVE THERAPIES. NOT ONLY ARE

BARRISTERS' REPORTING SERVICE

1 THERE SCIENTIFIC AND TECHNICAL CHALLENGE, BUT THEN
2 THERE'S THE CHALLENGES OF EVEN JUST TRYING TO
3 NAVIGATE A REGULATORY PATHWAY.

4 SO IN SEPTEMBER THIS YEAR I WORKED WITH A
5 VARIETY OF ORGANIZATIONS, THE ALLIANCE FOR
6 REGENERATIVE MEDICINE, THE CATAPULT CELL THERAPY
7 FROM THE UK, THE CANADIAN CENTER FOR
8 COMMERCIALIZATION, AND THE ECONOMIC AND SCIENCE
9 RESEARCH COUNCIL OF THE UK, AND THE MEDICAL RESEARCH
10 COUNCIL, ONE OF THE MAJOR FUNDING AGENCIES OF THE
11 MRC, TO PUT TOGETHER A CONFERENCE IN WASHINGTON,
12 D.C. ON REGULATORY PATHWAYS. SO IT WAS AN
13 INTERNATIONAL WORKSHOP FOCUSED ON CELL THERAPIES.
14 IT WAS BEFORE THE SHUTDOWN, SO WE JUST SNEAKED IT
15 IN.

16 BASICALLY IT WAS AN INTERNATIONAL WORKSHOP
17 WITH A FOCUS ON NORTH AMERICAN, EUROPEAN, AND
18 JAPANESE REGULATORY FRAMEWORKS FOR DEVELOPING
19 CELL-BASED THERAPIES. IT'S A MAJOR ISSUE BECAUSE A
20 LOT OF COMPANIES ARE WORKING ON GLOBAL STRATEGIES.
21 AND IT'S VERY COMPLICATED WHEN THERE'S DIFFERENT
22 REGULATIONS, GUIDELINES IN DIFFERENT COUNTRIES. SO
23 PART OF IT WAS REALLY TRYING TO GET OUR ARMS AROUND
24 OR AT LEAST TRYING TO GET SOME MEASURE OF
25 CONVERGENCE, IF NOT HARMONIZATION, ON THE CELL

BARRISTERS' REPORTING SERVICE

1 PATHWAYS. AND IT WAS VERY INTERACTIVE.

2 WE'RE PLOWING THROUGH THE DIFFERENT THINGS
3 THAT WE HEARD FROM THE CONFERENCE. IT WENT FROM
4 EVERYTHING FROM CELL SOURCE AND MANUFACTURING TO
5 PRECLINICAL ANIMAL MODELS TO CLINICAL DESIGN ISSUES,
6 AND HOW DO YOU CHOOSE THE PATIENT POPULATION AND THE
7 BENEFIT RISK RATIO, TO APPROVAL PATHWAYS, AND ARE
8 THERE SOME CREATIVE AND NOVEL WAYS WITHIN THE
9 REGULATORY FRAMEWORK TO MOVE THIS FIELD FORWARD IN A
10 MORE EXPEDITED AND ACCELERATED WAY.

11 WE ALSO WORK WITH FDA IN EDUCATIONAL
12 WEBINARS AND DEVELOPING THINKING ABOUT PATHWAYS FOR
13 CELL THERAPY. WE HAVE PLANNED A CIRM WEBINAR ON
14 MOVING CELL-BASED THERAPIES TO THE CLINIC FOR
15 PARKINSON'S DISEASE. THIS IS GOING TO TAKE PLACE ON
16 NOVEMBER 14TH. YOU'RE ALL WELCOME TO JOIN. IN
17 GENERAL, WE HAVE ANYWHERE FROM 200 TO 400 PEOPLE
18 JOIN THESE WEBINARS. WE HAVE SPEAKERS FROM THE FDA,
19 ACADEMIA, AND INDUSTRY. OUR SPEAKERS FOR THIS
20 UPCOMING PARKINSON'S DISEASE SEMINAR WILL BE WILSON
21 BRYAN, WHO HEADS UP CLINICAL EVALUATION IN PHARM TOX
22 AT THE FDA, JEFF KORDOWER, WHO'S PROFESSOR OF
23 NEUROLOGIC SCIENCES AND NEUROLOGY AT RUSH UNIVERSITY
24 MEDICAL CENTER, AND KARL JOHE, WHO'S CHIEF
25 SCIENTIFIC OFFICER FOR NEURAL STEM.

BARRISTERS' REPORTING SERVICE

1 THESE ARE PEOPLE WHO ARE VERY
2 KNOWLEDGEABLE ABOUT WHAT'S GOING ON PRECLINICALLY.
3 THEY'RE ALSO VERY KNOWLEDGEABLE ABOUT WHAT'S BEEN
4 TRIED IN THE PAST IN TERMS OF FETAL CELL TRANSPLANTS
5 AND WHAT WE LEARNED FROM THEM. AND IN ADDITION,
6 ROSA CANET-AVILAS HAS BEEN WORKING ON A WHITE PAPER
7 FROM A CIRM-SPONSORED WORKSHOP THAT WE HELD EARLIER
8 THIS YEAR. AND THE PLAN IS TO HAVE THIS IN TIME IN
9 ADVANCE OF THE WORKSHOP SO THAT IT CAN SERVE AS A
10 REFERENCE.

11 ON THE BUSINESS DEVELOPMENT END, I KNOW
12 THAT ELONA BAUM, NEIL LITTMAN, IN CONJUNCTION WITH
13 BEN HUANG HAVE BEEN VERY ACTIVE IN WORKING ON
14 ENGAGEMENT WITH INDUSTRY AND HOW TO WORK WITH THE
15 COMMERCIAL SECTOR IN WORKING WITH ACADEMIC
16 INVESTIGATORS WORKING WITH CIRM TO MOVE THE STEM
17 CELL SCIENCE FORWARD. SO ON OCTOBER 14TH AND 16TH
18 THERE'S STEM CELL MEETING ON THE MESA. THIS IS ONE
19 OF THE MAJOR PARTNERING FORUMS BETWEEN ACADEMIC AND
20 COMPANIES. WE'LL HAVE REPRESENTATIVES FROM
21 REGENERATIVE MEDICINE COMPANIES, THE PHARMA, AND
22 INVESTMENT COMMUNITY. AND THERE WILL BE A VARIETY
23 OF INVESTIGATORS FROM OUR CIRM-FUNDED PROGRAMS.

24 ALSO DIFFERENT OF OUR DISEASE TEAMS AND
25 DEVELOPMENT TEAMS PARTICIPATED IN WHAT'S CALLED A

BARRISTERS' REPORTING SERVICE

1 PITCH PRACTICE WITH, I GUESS, VOLUNTEER VENTURE
2 CAPITALISTS PROVIDING INPUT TO TRY AND PREP THEM FOR
3 HOW TO GET YOUR MESSAGE ACROSS IN A CONCISE, CLEAR,
4 AND CRISP WAY.

5 THERE'S A ROUNDTABLE MEETING ON OCTOBER
6 16TH AS A FOLLOW-UP TO A JUNE WORKSHOP THAT CIRM
7 HELD ON TECHNOLOGY HURDLES. AND TOPICS TO INCLUDE
8 WILL BE ABOUT BUILDING A STEM CELL TOOL KIT AND ALSO
9 ABOUT SUSPENSION CULTURE FOR INCREASING TITER. SO
10 SOME OF THIS IS REALLY ALSO ABOUT WORKING WITH
11 COMPANIES WHO WORK ON TOOLS AND TECHNOLOGIES. SO
12 IT'S NOT JUST THERAPEUTIC COMPANIES THAT WE'RE
13 WORKING WITH, BUT THOSE THAT CAN ACTUALLY HELP
14 ADVANCE THE FIELD BY WORKING ON SOME OF THE
15 BOTTLENECKS IN THE FIELD.

16 A CONFERENCE THAT'S UPCOMING THAT I DON'T
17 HAVE ON THE SLIDE, BUT I JUST WANT TO MENTION, IS
18 THAT CIRM IS WORKING ON AN ORGANIZING COMMITTEE WITH
19 THE INSTITUTE OF MEDICINE, THE NATIONAL ACADEMY OF
20 SCIENCES, AND THE ISSCR -- I SERVE ON THAT
21 COMMITTEE ON BEHALF OF CIRM -- TO WORK ON A STEM
22 CELL WORKSHOP IN WASHINGTON, D.C. ON NOVEMBER 18TH.
23 THERE'S GOING TO BE -- AMONG THE TOPICS THAT ARE
24 GOING TO BE ADDRESSED ARE ADDRESSING THE CONCERNS
25 ABOUT UNPROVEN STEM CELL TREATMENTS THAT ARE BEING

BARRISTERS' REPORTING SERVICE

1 OFFERED BY VARIOUS CLINICS THROUGHOUT THE WORLD AND
2 WILL EXAMINE THE EXTENT OF HOW MUCH THESE
3 UNSUBSTANTIATED STEM CELL OFFERINGS ARE GOING ON,
4 THE RISKS THAT THEY MAY POSE TO INDIVIDUAL HEALTH IN
5 THE STEM CELL FIELD, AND TALK ABOUT THE EVIDENCE
6 BASE THAT'S REALLY NEEDED TO SUBSTANTIATE THE
7 CLINICAL APPLICATION OF STEM CELL.

8 THERE WILL ALSO BE DISCUSSIONS ON THE
9 TECHNOLOGY, ON LEGAL ISSUES FOR ESTABLISHING
10 STANDARDS AND CRITERIA TO GOVERN STEM CELL TRIALS
11 AND TREATMENT. AND THERE WILL BE STAKEHOLDERS FROM
12 RESEARCHERS, FROM LEADERS FROM GOVERNMENT AGENCIES,
13 FROM ACADEMIC INSTITUTIONS, INDUSTRY, AS WELL AS
14 PATIENTS TO GET ALL THE DIFFERENT PERSPECTIVES IN
15 THE ROOM. THAT'S GOING TO BE HELD NOVEMBER 18TH.

16 AND NOW WHAT I'D LIKE TO DO IS TURN IT
17 OVER TO CHILA TO GIVE AN UPDATE ON THE FINANCIAL
18 REPORT.

19 MS. SILVA-MARTIN: THANK YOU, DR. FEIGAL.
20 GOOD MORNING. THIS MORNING I'M GOING TO PROVIDE YOU
21 WITH A BRIEF FINANCIAL UPDATE. THIS FIRST SLIDE
22 PROVIDES YOU HIGHLIGHTS ON OUR CURRENT OPERATIONS.
23 THE FIRST BULLET REFLECTS OUR OPERATING EXPENDITURES
24 FOR THE FIRST TWO MONTHS OF THE FISCAL YEAR, WHAT'S
25 BEEN RECORDED IN THE FINANCIAL STATEMENTS. AS YOU

BARRISTERS' REPORTING SERVICE

1 CAN SEE, IT'S ABOUT \$2 MILLION, NOT MUCH DIFFERENT
2 FROM WHAT WE RECORDED DURING THE SAME PERIOD LAST
3 FISCAL YEAR.

4 I DO WANT TO POINT OUT WITH RESPECT TO OUR
5 OPERATIONAL EXPENDITURES IS THAT WE DO EXPERIENCE A
6 LAG, PARTICULARLY AT THE BEGINNING OF THE FISCAL
7 YEAR, BECAUSE OUR ACCOUNTING STAFF ARE BUSY WORKING
8 ON THE YEAR-END PROCESS FOR THE FIRST TWO MONTHS OF
9 THE FISCAL YEAR. AND RIGHT AFTER THEY CONCLUDE
10 THAT, THEY GO RIGHT INTO THE FINANCIAL AUDIT,
11 RESPONDING TO QUESTIONS AND INQUIRIES FROM THE
12 AUDITORS.

13 OUR GRANT DISBURSEMENTS FOR THE FIRST
14 QUARTER OF THIS FISCAL YEAR WERE AT 59.3 MILLION,
15 ABOUT \$20 MILLION MORE THAN WE DISBURSED IN THE
16 PRIOR PERIOD.

17 THIS NEXT SLIDE REALLY PROVIDES YOU OUR
18 OPERATING EXPENDITURES IN A LITTLE BIT MORE DETAIL.
19 I'M NOT REALLY GOING TO COVER IT OTHER THAN TO SAY
20 THAT IT JUST REFLECTS THE FIRST TWO MONTHS. AGAIN,
21 WE HAVE LAGS IN THE RECORDING OF THE EXPENDITURES.

22 ONE THING I DID WANT TO POINT OUT IS THAT
23 YOU CAN SEE OUR EXTERNAL SERVICES HAVE GONE DOWN
24 SIGNIFICANTLY, AND THAT WAS BECAUSE WE COMMITTED TO
25 REDUCING THOSE COSTS AND WE DID MOVE SOME OF THOSE

BARRISTERS' REPORTING SERVICE

1 COSTS FROM CONSULTING WORK TO POSITIONS.

2 I DID WANT TO REPORT THAT WE HAVE
3 COMPLETED THE AUDIT. WE HAVE AN ANNUAL AUDIT EVERY
4 YEAR, AND WHAT THEY DO IS THEY COME IN AND REVIEW
5 OUR INTERNAL CONTROLS. AND THEY FOUND THAT THERE
6 WAS NO DEFICIENCIES IN THIS AREA. THEY ALSO TEST
7 COMPLIANCE WITH RULES, LAWS, REGULATIONS, POLICIES.
8 AND, AGAIN, THEY FOUND NO INSTANCES OF
9 NONCOMPLIANCE.

10 I DO ANTICIPATE THAT THE MGO, MACIAS, GINI
11 & O'CONNELL, WILL COME TO THE DECEMBER BOARD MEETING
12 AND PROVIDE YOU WITH A FULL REPORT AT THAT TIME.

13 WE CONTINUE TO RECEIVE FUNDING ON A
14 MONTHLY BASIS THROUGH COMMERCIAL PAPER. AND SO AS A
15 RESULT, WE HAVE A VERY HEALTHY CASH BALANCE TO MEET
16 OUR OPERATIONAL NEEDS OF \$61.4 MILLION.

17 AND THEN THE LAST SLIDE, THE LAST THING I
18 WANTED TO COVER WAS DONATIONS. WE DID RECEIVE A
19 \$1,000 DONATION FROM THE AMALGAMATED TRANSIT UNION
20 LOCAL 1277 LOCATED IN LOS ANGELES.

21 THAT CONCLUDES THE FINANCIAL REPORT. ARE
22 THERE ANY QUESTIONS? THANK YOU.

23 MR. TORRES: THE UNION PRESIDENT IS A DEAR
24 FRIEND, AND IT WAS TOTALLY UNEXPECTED, BUT THANK YOU
25 AGAIN, MR. LINDSAY.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: THANK YOU, ELLEN AND
2 CHILA. AND, CHILA, CONGRATULATIONS ON ANOTHER
3 PERFECT AUDIT. WE ALWAYS LOVE HEARING THAT AND
4 APPRECIATE ALL OF YOUR HARD WORK AND ALL OF THOSE
5 WHO HELP YOU GET US INTO THAT POSITION. SO THANK
6 YOU VERY MUCH.

7 I APPARENTLY THRUST AN AGENDA TOPIC ON
8 KEVIN THAT DOESN'T EXIST. AND SO WHAT I'D LIKE TO
9 DO, SINCE I WOULD BE REMISS IF WE DIDN'T, IS GIVE
10 JEFF A CHANCE TO COMMENT ON THE TOWN HALL OF LAST
11 WEEK FROM HIS PERSPECTIVE AND HOW IT WENT. SO
12 MR. SHEEHY.

13 MR. SHEEHY: THANK YOU, J.T. WELL, FIRST
14 OF ALL, I JUST REALLY WANT TO ACKNOWLEDGE THE WORK
15 OF KEVIN AND CIRM COMMUNICATIONS STAFF AND MARIA
16 MILLAN. IT WAS A PHENOMENAL EFFORT THAT THEY PUT
17 FORTH TO ORGANIZE THIS FORUM. AND I THINK IT WAS
18 IMPORTANT FOR US TO DO SOMETHING LIKE THIS.

19 THIS IS ONE OUR FIRST CLINICAL TRIALS.
20 AND TO HAVE THESE KINDS OF COMMUNICATIONS WITH THE
21 COMMUNITY, TALKING ABOUT HOW OUR RESEARCH FITS INTO
22 THE BROADER CONTEXT OF CURE RESEARCH, SPECIFICALLY
23 IN THIS INSTANCE WITHIN THE DISEASE AREA OF
24 HIV/AIDS, BUT I THINK THIS WOULD BE TRUE ANY TIME WE
25 GO INTO A SET OF PATIENTS WITH THE TYPES OF

BARRISTERS' REPORTING SERVICE

1 TECHNOLOGIES THAT WE'RE DEVELOPING WHICH ARE NOT
2 WITHOUT RISK. I THINK IT'S VERY IMPORTANT THAT THE
3 COMMUNITY BE WELL INFORMED ABOUT WHAT WE'RE TRYING
4 TO DO AND HOW IT FITS IN OTHER EFFORTS.

5 AND SO FROM THAT PERSPECTIVE, I THINK IT
6 WAS VERY VALUABLE TO BRING THE COMMUNITY IN AND HAVE
7 THAT DIALOGUE. BUT I ALSO THINK IT WAS VERY
8 IMPORTANT AND VERY VALUABLE FOR CIRM TO HAVE THEIR
9 RESEARCH PUT IN THE SAME CONTEXT AS REALLY WHAT ARE
10 THE THREE LEADING PROJECTS WORKING TOWARDS A CURE
11 THAT ARE BEING FUNDED BY NIH. SO A LOT OF TIMES
12 WE'RE KIND OF OUT HERE IN CALIFORNIA AND OUTLIERS
13 AND NOT REALLY THOUGHT OF IN THE SAME CONTEXT AS
14 SOME OF THE OTHER PROJECTS THAT ARE GOING ON. AND
15 ESPECIALLY IN HIV AND AIDS, IT'S KIND OF LIKE CIRM,
16 WHAT'S CIRM? WHAT ARE THEY DOING? AND THIS WAS A
17 GREAT OPPORTUNITY TO REALLY TALK ABOUT OUR WORK AND
18 SHOW NOT ONLY IS WHAT WE'RE FUNDING EXTRAORDINARY
19 AND VALUABLE, BUT IT IS AT LEAST ON PAR WITH WHAT
20 THE NIH IS FUNDING. AND IN MANY WAYS WE MAY BE
21 LEADING THE WAY BECAUSE WE'RE ACTUALLY IN THE CLINIC
22 AT THIS POINT.

23 SO I JUST THOUGHT IT WAS TREMENDOUS. THE
24 PEOPLE WE HAD IN THE COMMUNITY WERE REALLY LEADERS
25 IN THE HIV/AIDS COMMUNITY THAT HAVE BEEN WORKING

BARRISTERS' REPORTING SERVICE

1 SINCE THE VERY EARLY DAYS OF THE EPIDEMIC. SO A LOT
2 OF FRIENDS, A LOT OF PEOPLE WHO FRANKLY WE'RE LUCKY
3 TO STILL HAVE WITH US. WE'VE LOST SO MANY IN SAN
4 FRANCISCO OVER THE LAST 30 PLUS YEARS, AND WE'RE
5 STILL FIGHTING. AND IT'S JUST GREAT TO HAVE CIRM BE
6 A PARTNER WITH US IN THIS STRUGGLE AND TO HAVE US
7 ALL PULLING TOGETHER.

8 SO, AGAIN, I WANT TO THANK KEVIN AND
9 MARIA, WHO WAS THE MODERATOR, ALAN WHO CAME AND
10 DELIVERED A NICE TALK FOR THEIR EFFORTS. IT REALLY
11 WAS, I THINK, A GREAT WAY TO LEARN ABOUT WHAT CIRM
12 IS DOING AND A GREAT WAY TO HAVE A VERY SERIOUS
13 ENGAGED DIALOGUE WITH THE COMMUNITY.

14 CHAIRMAN THOMAS: THANK YOU, MR. SHEEHY.
15 I ECHO CONGRATULATIONS TO EVERYBODY. IT WAS A
16 WONDERFUL, VERY WELL-ATTENDED, AND HIGHLY
17 SOPHISTICATED EVENT, NOT JUST FROM THE STANDPOINT OF
18 THE SPEAKERS AND PANELISTS, BUT THE DEPTH OF
19 QUESTIONS THAT WERE ASKED AND THE UNDERSTANDING OF
20 THE SCIENCE INVOLVED WAS VERY, VERY IMPRESSIVE. SO
21 THE WHOLE THING WAS JUST FIRST-RATE. SO
22 CONGRATULATIONS.

23 DR. DULIEGE: JON, IF I MAY JUST SECOND
24 WHAT YOU SAID AND CONGRATULATE YOU, JEFF, FOR HAVING
25 ASSEMBLED THIS EFFORT, PARTICULARLY THE PEOPLE THAT

BARRISTERS' REPORTING SERVICE

1 YOU HAD ON THE PANEL WERE ALL ABSOLUTELY TOPNOTCH
2 CLASS FOR THIS. SO THAT WAS AN EXTRAORDINARY EVENT.

3 FROM THE SCIENTIFIC PERSPECTIVE, WHAT I
4 PARTICULARLY LIKED, AND I MENTIONED THIS IN THE END,
5 WAS THAT ALL OF THESE RESEARCHERS HAVE ESSENTIALLY
6 SPENT THEIR LIFE TRYING TO FIND IMPROVEMENT, IF NOT
7 POSSIBLE CURE, FOR THIS DISEASE, AND IN MANY WAYS
8 THIS HAS BEEN REALLY AN EXTRAORDINARILY HARSH
9 SCIENTIFIC ENDEAVOR THEY HAVE PURSUED. AND THIS
10 TIME FOR THE FIRST TIME IN A LONG PERIOD OF TIME I
11 FELT A SENSE OF CAUTIOUS OPTIMISM AT THE END ABOUT
12 THE FACT THAT, AS THEY SEE IT, CURE IS NOT AN IF,
13 BUT WHEN. I THINK THAT WAS VERY REFRESHING. YES,
14 THEY WERE VERY CAUTIOUS. IT CERTAINLY IS NOT MEANT
15 TO BE FOR TOMORROW AND TO GIVE FALSE HOPES THERE.
16 SO THANK YOU SO MUCH, JEFF, FOR THIS.

17 CHAIRMAN THOMAS: THANK YOU, ANNE-MARIE.

18 WE'LL GO ON NOW TO THE ACTION ITEMS ON THE
19 AGENDA, STARTING WITH NO. 6, CONSIDERATION OF
20 APPOINTMENT OF NEW SCIENTIFIC MEMBERS AND
21 REAPPOINTMENT OF EXISTING MEMBERS TO THE GRANTS
22 WORKING GROUP. DR. SAMBRANO.

23 DR. SAMBRANO: THANK YOU. MR. CHAIRMAN,
24 MEMBERS OF THE BOARD, MEMBERS OF THE PUBLIC, WE'RE
25 COMING TO YOU TODAY WITH SOME NEW NOMINEES FOR

BARRISTERS' REPORTING SERVICE

1 GRANTS WORKING GROUP MEMBERS AS WELL AS SOME
2 REAPPOINTMENT OF OLD MEMBERS WHOSE TERMS ARE JUST
3 ABOUT TO EXPIRE.

4 ALL OF THE BIOGRAPHIES ARE IN YOUR BOOKS,
5 AND I WILL LIST THE NAMES OF THE NEW NOMINEES. THEY
6 ARE DRS. BRAD BERNSTEIN, RICHARD GIBBS, MARTIN PERA,
7 BARRY ROSEN, AND STEVEN RUSSELL. FOR REAPPOINTMENT
8 WE ARE INTERESTED IN REAPPOINTING DRS. THOR
9 LEMISCHKA FOR A PERIOD OF TWO YEARS, SHELLY HEIMFELD
10 FOR A PERIOD OF SIX YEARS, AND THOMAS ZWAKA FOR A
11 PERIOD OF SIX YEARS. AND I WILL JUST NOTE THAT
12 DR. ZWAKA, ALTHOUGH IT WAS INDICATED IN THE BIO,
13 HE'S ACTUALLY RECENTLY MOVED AND IS NOW AT MT. SINAI
14 MEDICAL CENTER IN NEW YORK.

15 SO WE ARE SEEKING THE APPOINTMENT AND
16 REAPPOINTMENT OF THESE MEMBERS.

17 CHAIRMAN THOMAS: THERE ANY QUESTIONS OF
18 DR. SAMBRANO ON THIS POINT? DO I HEAR A MOTION TO
19 APPROVE?

20 MR. GOLDBERG: MOTION TO APPROVE.

21 CHAIRMAN THOMAS: MOVED BY MR. GOLDBERG.

22 DR. DULIEGE: SECOND.

23 CHAIRMAN THOMAS: SECOND BY ANNE-MARIE
24 DULIEGE. ANY PUBLIC COMMENT ON THE SUBJECT? ALL
25 THOSE IN FAVOR PLEASE SAY AYE. OPPOSED? MOTION

BARRISTERS' REPORTING SERVICE

1 PASSES. THANK YOU.

2 THE NEXT ITEM IS CONSIDERATION OF THE
3 APPOINTMENT OF A NEW ICOC PATIENT ADVOCATE MEMBER TO
4 THE GRANTS WORKING GROUP. AND I WILL HANDLE THIS.
5 WE WOULD LIKE TO, FOR YOUR CONSIDERATION, NOMINATE
6 AL ROWLETT TO JOIN THE GRANTS WORKING GROUP. DO I
7 HEAR A MOTION TO THAT EFFECT?

8 MR. TORRES: SO MOVED.

9 DR. DULIEGE: SECOND.

10 MR. SHEEHY: SECOND.

11 CHAIRMAN THOMAS: SECONDED BY MR. SHEEHY.
12 ANY COMMENTS BY MEMBERS OF THE BOARD? ANY COMMENTS
13 BY MEMBERS OF THE PUBLIC?

14 BEFORE WE VOTE, I'D JUST LIKE TO SAY, AL,
15 WE'RE DELIGHTED YOU'RE GOING TO BE JOINING US. AND
16 YOU WILL, AS YOU HAVE, FIND THIS TO BE A MOST
17 INTERESTING AND UNIQUE EXPERIENCE.

18 MR. ROWLETT: THANK YOU. I'M LOOKING
19 FORWARD TO THE OPPORTUNITY.

20 CHAIRMAN THOMAS: ALL THOSE IN FAVOR
21 PLEASE SAY AYE. OPPOSED? MOTION CARRIES.

22 OKAY.

23 MR. HARRISON: JUST TO NOTE, I THINK
24 MR. ROWLETT INTENDED TO ABSTAIN FROM THAT VOTE.

25 MR. ROWLETT: I ABSTAIN.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: IT'S ALWAYS NICE WHEN
2 MR. HARRISON TELLS YOU WHAT YOU ACTUALLY MEANT TO BE
3 DOING. HE IS LIKE THAT WITH ME ON A ROUTINE BASIS,
4 I ASSURE YOU. SO WELCOME TO THE CLUB, AL.

5 MARIA, SHOULD WE PROCEED TO ITEM NO. 8
6 NOW? ITEM NO. 8, REQUEST FOR CONSENT TO INITIATE
7 RULEMAKING ON AMENDING CONFLICT OF INTEREST
8 REGULATIONS FOR NON-ICOC MEMBERS OF THE GRANTS
9 WORKING GROUP. MR. STEIN.

10 MR. STEIN: THANK YOU, MR. CHAIRMAN,
11 MEMBERS OF THE BOARD. GOOD MORNING. THIS ITEM IS A
12 REQUEST FOR CONSENT FROM THE BOARD TO START A
13 RULEMAKING PROCESS TO AMEND OUR CONFLICT OF INTEREST
14 REGULATIONS FOR NON-ICOC MEMBERS OF THE GRANTS
15 WORKING GROUP. THESE RULES COVER THE SCIENTIFIC
16 MEMBERS OF THE GRANTS WORKING GROUP ONLY. THEY DO
17 NOT COVER PATIENT ADVOCATE MEMBERS OF THE BOARD OR
18 THE CHAIRMAN, WHO ALSO SIT ON THE GRANTS WORKING
19 GROUP.

20 WE PROVIDED YOU WITH A MEMO ALONG WITH A
21 SET OF PROPOSED AMENDMENTS TO THE REGULATION AS AN
22 ATTACHMENT. I WANT TO EMPHASIZE THAT WE'RE NOT
23 SEEKING APPROVAL OR ADOPTION OF THE PROPOSED
24 AMENDMENTS TODAY. WE'RE JUST ASKING FOR A GREEN
25 LIGHT TO START A RULEMAKING PROCESS. WE'LL BE

BARRISTERS' REPORTING SERVICE

1 POSTING AND SOLICITING INPUT ON THE PROPOSED
2 AMENDMENTS FROM THE BOARD, FROM THE PUBLIC, AND OUR
3 GRANTEES, AND WE'LL REVISE THE PROPOSED AMENDMENTS
4 BASED ON THAT INPUT.

5 ONCE WE ARRIVE AT A FINAL PROPOSAL, WE'LL
6 BE RETURNING TO THE BOARD FOR ADOPTION PROBABLY IN
7 FOUR TO SIX MONTHS DEPENDING ON THE EXTENT OF THE
8 COMMENTS WE RECEIVE.

9 THE CONFLICT OF INTEREST RULES HAVE BEEN
10 IN EFFECT SINCE CIRM BEGAN ITS OPERATIONS. SOME
11 TIME AGO WE BEGAN WORKING WITH OUR OUTSIDE COUNSEL,
12 THE REMCHO FIRM IN THE PERSON OF MR. HARRISON, ON
13 CLARIFYING CERTAIN PROVISIONS TO MAKE THE RULES MORE
14 EASILY UNDERSTANDABLE. MAKING THE RULES
15 UNDERSTANDABLE AND EASY TO APPLY FOR OUR REVIEWERS
16 IS CRITICALLY IMPORTANT TO PREVENTING CONFLICTS FROM
17 ARISING IN THE FIRST PLACE.

18 SINCE THE RULES WERE ADOPTED, THERE HAVE
19 BEEN ONLY TWO CONFIRMED VIOLATIONS. BOTH OF THEM
20 WERE INADVERTENT, AND BOTH OF THEM WERE FAIRLY
21 TECHNICAL. EACH TIME WE INFORMED THE LEGISLATURE
22 THAT AN INADVERTENT VIOLATION HAD OCCURRED AND THAT
23 WE INTENDED TO CLARIFY THE RULES IN AN EFFORT TO
24 PREVENT FUTURE CONFLICTS FROM ARISING. IN FACT, THE
25 REMCHO FIRM HAD BEGUN WORKING ON THESE CLARIFYING

BARRISTERS' REPORTING SERVICE

1 AMENDMENTS BEFORE EITHER OF THOSE VIOLATIONS
2 OCCURRED, WHICH BRINGS US TO TODAY.

3 THE PROPOSED AMENDMENTS IN THE MEMO ARE
4 PRIMARILY A MATTER OF CLEANING UP REGULATORY
5 LANGUAGE IN AN EFFORT TO CLARIFY IT. THEY DO NOT
6 AMOUNT TO A MAJOR OVERALL OF THE RULES. WE ARE
7 TRYING TO FINE-TUNE THE RULES SO THAT THEY REACH
8 ONLY INTERESTS THAT CAN GENUINELY BE DEEMED
9 MATERIAL.

10 OUR GOAL IS TO TRY TO STRIKE THE RIGHT
11 BALANCE SO THAT THE RULES PREVENT GENUINELY MATERIAL
12 CONFLICTS FROM INJECTING BIAS INTO THE GRANT REVIEW
13 PROCESS, BUT AT THE SAME TIME DO NOT CAST SO BROAD A
14 NET THAT THE GRANTS WORKING GROUP IS PREVENTED FROM
15 RECRUITING THE BEST SCIENTIFIC AND MEDICAL EXPERTS
16 IN THE FIELD.

17 BEFORE I GIVE YOU A BRIEF SUMMARY OF THE
18 PROPOSED RULES, I WANT TO PUT THEM IN A LITTLE BIT
19 OF CONTEXT FOR YOU. UNDER STATE LAW A FINANCIAL
20 CONFLICT OF INTEREST IS THE ONLY BASIS FOR RECUSAL.
21 OUR CONFLICT OF INTEREST RULES GO BEYOND STATE LAW
22 IN THAT THEY PROHIBIT PERSONAL AND PROFESSIONAL
23 INTERESTS AS CONFLICTS AS WELL AS FINANCIAL
24 CONFLICTS.

25 SO I WANT TO EMPHASIZE THAT NOTHING IN THE

BARRISTERS' REPORTING SERVICE

1 PROPOSED AMENDMENTS WE'RE PUTTING FORWARD TODAY
2 CHANGE THAT. THE RULES STILL PROHIBIT PERSONAL AND
3 PROFESSIONAL CONFLICTS. ALSO, NOTHING IN THE
4 PROPOSED AMENDMENTS CHANGED THE BASIC RULE THAT A
5 REVIEWER WHO STANDS TO RECEIVE ANY AMOUNT OF MONEY
6 FROM THE GRANT BEING REVIEWED IS DISQUALIFIED. THE
7 RULES ALSO CONTINUE TO REQUIRE RECUSAL WHERE A
8 REVIEWER HAS A FINANCIAL INTEREST OF \$5,000 OR MORE
9 IN THE APPLICANT INSTITUTION AS OPPOSED TO THE
10 PARTICULAR GRANT BEING REVIEWED.

11 SO I'LL JUST TURN BRIEFLY TO THE PROPOSED
12 AMENDMENTS. THEY AFFECT ALL THREE CATEGORIES OF
13 CONFLICTS: FINANCIAL, PERSONAL, AND PROFESSIONAL.

14 WITH RESPECT TO FINANCIAL INTERESTS, THE
15 MAJOR CHANGE HERE REGARDS CONFLICTS THAT ARISE WHEN
16 A REVIEWER AND SOMEONE WHO'S INVOLVED IN THE GRANT
17 OR WITH THE APPLICANT INSTITUTION HAVE A, QUOTE,
18 UNQUOTE, COMMON FINANCIAL INTEREST. THE TERM
19 "COMMON FINANCIAL INTEREST" IS VAGUE AND IT'S PROVEN
20 DIFFICULT TO APPLY. IT'S NOT DEFINED IN OUR
21 REGULATIONS OR IN STATE LAW. SO THERE'S NOTHING
22 OUTSIDE OUR REGULATIONS WE COULD USE AS A BASIS FOR
23 INTERPRETING IT.

24 THE PROPOSED AMENDMENTS ADDRESS THAT
25 AMBIGUITY BY TRIGGERING A CONFLICT WHERE A MEMBER OF

BARRISTERS' REPORTING SERVICE

1 THE REVIEWER'S IMMEDIATE FAMILY HAS AN INTEREST IN
2 THE GRANT OR THE APPLICANT INSTITUTION. AND THE
3 AMENDMENTS DEFINE IMMEDIATE FAMILY TO INCLUDE
4 SPOUSE, DOMESTIC PARTNER, OR DEPENDENT CHILDREN.
5 THAT DEFINITION OF IMMEDIATE FAMILY IS BORROWED FROM
6 STATE CONFLICT OF INTEREST LAW. SO IT'S A TERM
7 THAT'S WELL UNDERSTOOD.

8 WITH RESPECT TO PERSONAL AND PROFESSIONAL
9 INTERESTS, THE CURRENT RULES REQUIRE RECUSAL WHERE
10 THE REVIEWER HAS A PERSONAL OR PROFESSIONAL
11 ASSOCIATION WITH AN INVESTIGATOR ON THE APPLICANT'S
12 RESEARCH TEAM. IN MOST CASES THERE'S NO REQUIREMENT
13 THAT THE INVESTIGATOR BE IN A POSITION TO EARN ANY
14 SALARY OR COMPENSATION FROM THE GRANT. IN ONE CASE
15 THE CONFLICT EXISTS IF THE INVESTIGATOR STANDS TO
16 RECEIVE ANY SALARY FROM THE GRANT NO MATTER HOW
17 SMALL THE AMOUNT.

18 THE PROPOSED AMENDMENTS THAT YOU SEE IN
19 THE PACKAGE DO TWO THINGS PRIMARILY. FIRST, THEY
20 FILL A GAP BY APPLYING THE RULES TO BOTH SALARIED
21 EMPLOYEES AND PAID CONSULTANTS. PAID CONSULTANTS
22 WERE NOT EXPLICITLY COVERED BY THE RULES -- ARE NOT
23 EXPLICITLY COVERED BY THE RULES AS THEY STAND NOW.
24 SECOND, THE PROPOSED AMENDMENTS TRIGGER A CONFLICT
25 WHERE THE INVESTIGATOR STANDS TO EARN \$5,000 OR MORE

BARRISTERS' REPORTING SERVICE

1 IN CONSULTING FEES OR INCOME FROM THE GRANT.

2 AND I WOULD NOTE THAT THAT'S THE SAME
3 DISQUALIFICATION TRIGGER THAT'S IN THE RULES
4 CURRENTLY FOR INCOME RECEIVED BY A REVIEWER HIM OR
5 HERSELF FROM THE APPLICANT INSTITUTION.

6 SO THAT IS A VERY BRIEF SUMMARY OF THE
7 AMENDMENTS. I'M HAPPY TO TAKE QUESTIONS AND
8 COMMENTS. AS I SAID, THIS IS REALLY THE FIRST STEP
9 IN AN ITERATIVE PROCESS IN WHICH WE'LL BE REVISING
10 THESE AMENDMENTS BEFORE WE COME BACK TO THE BOARD
11 FOR FINAL ADOPTION.

12 MR. SHEEHY: SO I HAVE A COUPLE OF
13 QUESTIONS. SO THE TWO CONFLICTS THAT HAVE HAPPENED,
14 WOULD THEY STILL BE CONFLICTS UNDER THESE CHANGES IN
15 RULES?

16 MR. STEIN: I DO NOT BELIEVE THEY WOULD
17 HAVE BEEN A CONFLICT. IN EACH OF THOSE CASES, THE
18 INVESTIGATOR WITH WHOM THE REVIEWER HAD A PERSONAL
19 OR PROFESSIONAL RELATIONSHIP STOOD TO EARN LESS THAN
20 \$5,000 A YEAR FROM THE GRANT BEING REVIEWED.

21 MR. SHEEHY: WELL, THEN, I THINK IT'S NOT
22 POSSIBLE FOR ME TO EVEN SUPPORT THE INITIATION OF
23 THIS PROCESS BECAUSE IN THE LAST CASE, AT LEAST WHAT
24 I'VE BEEN TOLD, THE IDENTIFICATION OF THE CONFLICT,
25 WHICH WAS THE REVIEWER AND THE GRANTEE HELD PROPERTY

BARRISTERS' REPORTING SERVICE

1 TOGETHER, AND THE IDENTIFICATION OF THAT CONFLICT
2 WAS MADE BY A FELLOW REVIEWER. SO IF WE HAVE A
3 CONFLICT THAT IS DEEMED MATERIAL BY MEMBERS OF THE
4 SCIENTIFIC COMMUNITY, IT'S HARD FOR ME TO UNDERSTAND
5 WHY THE NET THAT WE'RE CASTING WE SHOULD MAKE THE
6 HOLES BIGGER IN ORDER TO LET THE FISH OUT.

7 WE'VE ALWAYS PRIDED OURSELVES ON HAVING
8 VERY STRINGENT CONFLICT OF INTEREST RULES. AND TO
9 MY KNOWLEDGE, WE HAVE NOT HAD A PROBLEM GETTING
10 REVIEWERS. SO I THINK IN MY MIND HAVING SOMEONE
11 REVIEW A GRANT FOR SOMEONE WITH WHOM THEY HAVE HELD
12 PROPERTY TOGETHER FOR A LONG PERIOD OF TIME IS
13 INAPPROPRIATE. SO I REALLY WOULD NOT LIKE TO MAKE
14 THAT NET LARGER.

15 AND THE OTHER INSTANCE, THOUGH, HOWEVER, I
16 DON'T KNOW THAT YOU REALLY HAVE NECESSARILY
17 ADDRESSED THE PROBLEM BECAUSE I THINK IF THERE IS A
18 PROBLEM, IT RELATES TO THE BREADTH OF THE
19 PUBLICATION CO-AUTHORSHIP AND THE LACK OF
20 SPECIFICATION WITHIN THAT CONTEXT. THAT REVIEWER
21 WAS DEEMED IN CONFLICT BECAUSE HE HAD BEEN ON A
22 REVIEW PAPER. AND I THINK FOR PEOPLE WHO ARE
23 SCIENTISTS, AND I'M NOT A SCIENTIST, BUT YOU GET
24 THESE REVIEW PAPERS WHERE EVERYBODY WHO'S EVER SAID
25 ANYTHING IN A FIELD IS KIND OF BROUGHT IN BECAUSE IN

BARRISTERS' REPORTING SERVICE

1 SOME WAY OR ANOTHER THEY CONTRIBUTED TO IT. IT'S
2 NOT THE SAME THING AS WHEN YOU HAVE CONTRIBUTED TO
3 AN ORIGINAL PIECE OF RESEARCH AND YOU WORKED
4 TOGETHER AS A TEAM. THAT SEEMS TO ME LIKE SOMETHING
5 THAT IS A PUBLICATION CONFLICT, NOT HAPPENED TO HAVE
6 A CO-AUTHOR ON A REVIEW ARTICLE IN WHICH EVERYBODY
7 WHO'S KIND OF SENIOR IN THE FIELD GETS SOME SORT OF
8 LITTLE PIECE BECAUSE THEY'VE ALLOWED BITS AND PIECES
9 OF THEIR SCIENCE TO BE USED IN THIS REVIEW ARTICLE.
10 THAT'S NOT ADDRESSED.

11 I HAVE TO SAY I FEEL LIKE THIS IS ONE OF
12 THESE ISSUES THAT REALLY SHOULD BE TEASED OUT IN
13 COMMITTEE AND NOT BROUGHT TO THE BOARD BECAUSE I CAN
14 TELL YOU ON THIS FIRST, JUST TO STIPULATE THE
15 STIPULATION THAT WE WANT TO CHANGE OUR RULES TO
16 REDUCE THE RISK THAT CONFLICTS WILL ARISE IN THE
17 FUTURE SOUNDS LIKE WE WANT TO CHANGE OUR RULES AND
18 MAKE SURE TO ELIMINATE THE POSSIBILITY OF CONFLICTS
19 BY MAKING OUR RULES LESS STRICT. AND THAT WORRIES
20 ME, AND I THINK THAT THAT IS NOT HELPFUL FOR OUR
21 PROGRAM.

22 SO I COULD NOT SUPPORT THIS GOING FORWARD
23 AT THIS TIME.

24 MS. BAUM: JUST FOR CLARITY, I DON'T THINK
25 WE'RE TRYING TO LIMIT THE RULES SO THEY'RE LESS

BARRISTERS' REPORTING SERVICE

1 STRICT. AND WE'LL CERTAINLY TAKE UNDER SUBMISSION
2 YOUR SUGGESTIONS. I THINK THAT IT'S MAYBE -- THE
3 INSTANCE THAT YOU REFER IS MORE, I THINK, A BETTER
4 DEFINITION OF PERSONAL CONFLICT. AND SO I THINK WE
5 WILL DEFINITELY TAKE THIS AND REWORK IT AND COME
6 BACK TO YOU, BUT WE NEED TO MAKE IT CLEAR, AND THAT
7 IS THE ACTUAL INTENT. THERE'S DIFFERENT BUCKETS.
8 SO I THINK WE'RE TRYING TO CLARIFY WHAT THE
9 FINANCIAL BUCKET IS, BUT THEN THERE'S ALSO THE
10 DEFINITION OF PERSONAL CONFLICTS WHICH WE'LL MAKE
11 SURE CAPTURE THE APPROPRIATE ITEMS.

12 MR. SHEEHY: IF NOT IT'S INAPPROPRIATE,
13 COULD I JUST MOVE TO HAVE THIS SENT TO COMMITTEE,
14 WHATEVER COMMITTEE YOU'D LIKE TO SEND IT TO. THE
15 SCIENCE SUBCOMMITTEE IS FINE WITH ME OR GOVERNANCE
16 IS FINE, EITHER ONE.

17 CHAIRMAN THOMAS: I THINK THAT'S A GOOD
18 IDEA. BEFORE YOU DO THAT, MR. SHEEHY, CAN WE JUST
19 HEAR FROM MR. JUELSGAARD, WHO I SAID WE'D CALL UPON.
20 WE'LL GET TO THAT IN ONE SECOND. THANK YOU.

21 DR. JUELSGAARD: THANK YOU, MR. THOMAS.
22 SO I WASN'T HERE WHEN THESE CONFLICT OF INTEREST
23 RULES WERE ADOPTED. I CAME IN AFTER THEY WERE
24 ADOPTED. AND SO I HAVE A SERIES OF QUESTIONS, SOME
25 OF THEM MORE LARGE PICTURE IN NATURE AND SOME OF

BARRISTERS' REPORTING SERVICE

1 THEM MORE RELATED TO THE SPECIFIC LANGUAGE.

2 LISTENING TO MR. SHEEHY'S COMMENTARY AND
3 THIS FIRST EXAMPLE OF A SHARING OF AN INTEREST IN
4 PROPERTY, WHICH I VIEW TO BE AN ECONOMIC ISSUE AND
5 IS, AS I UNDERSTAND IT, UNDER CALIFORNIA LAW
6 INAPPROPRIATE CONTEXT FOR JUDGING CONFLICT OF
7 INTEREST. WHAT TROUBLES A LITTLE BIT ARE THE OTHER
8 TWO CONTEXTS, THE NOTION OF A PROFESSIONAL OR A
9 PERSONAL CONFLICT OF INTEREST.

10 AND MY CONCERNS ARE, ONE, ARE THOSE
11 LEGITIMATELY CONCERNS FOR A CONFLICT OF INTEREST,
12 AND TWO IS THE DEFINITION OF THOSE SORTS OF THINGS.

13 SO BEFORE I START ASKING SOME QUESTIONS
14 ABOUT THEM GENERALLY AND MORE SPECIFICALLY, CAN
15 SOMEBODY TELL ME ABOUT THE GENESIS SINCE OBVIOUSLY
16 IT'S NOT A MATTER OF CALIFORNIA LAW AS I UNDERSTAND
17 IT. IT MUST COME FROM SOME OTHER AREA TO ADOPT
18 THOSE PRINCIPLES OF CONFLICT OF INTEREST TO IMPORT
19 THEM INTO THIS STATE ORGANIZATION OR STATE AGENCY.
20 SO WHY DO WE HAVE PERSONAL AND PROFESSIONAL
21 CONFLICTS OF INTEREST WHEN THEY'RE NOT NECESSARILY A
22 MATTER OF CALIFORNIA CONFLICT OF INTEREST LAW?

23 MR. STEIN: THE SHORT ANSWER IS THAT PROP
24 71 DIRECTED THE ICOC TO ADOPT CONFLICT RULES THAT
25 WERE, QUOTE, UNQUOTE, BASED ON CONFLICT RULES

BARRISTERS' REPORTING SERVICE

1 ADOPTED BY THE NATIONAL INSTITUTES OF HEALTH. AND
2 IN 2004-2005, WHEN THE ICOC FIRST ADOPTED THESE
3 RULES, THE NIH RULES CONTAINED PROHIBITIONS ON
4 PERSONAL AND PROFESSIONAL CONFLICTS. THAT'S MY
5 UNDERSTANDING OF THE GENESIS OF THE RULE.

6 MR. SHEEHY: YOU HAVE TO REMEMBER THAT OUR
7 FIRST PRESIDENT WAS ZACH HALL, WHO HAD HEADED ONE OF
8 THE INSTITUTES OF THE NATIONAL INSTITUTES OF HEALTH,
9 AND ARLENE CHIU WAS IN CHARGE OF REALLY SETTING UP
10 OUR PEER REVIEW PROCESS. AND SHE HAD BEEN -- SHE
11 WORKED WITH ZACH AT THAT PARTICULAR NATIONAL
12 INSTITUTE. SO THEY DEvised -- THE TWO OF THEM ARE
13 THE GENESIS OF THESE RULES. SO THERE'S A DIRECT
14 CORRELATION BETWEEN WHAT HAPPENS AT NIH AND THE WAY
15 OUR RULES WERE DESIGNED.

16 DR. JUELSGAARD: SO JUST TO BE CLEAR ABOUT
17 THAT, WHEN YOU SAY THERE'S A DIRECT CORRELATION,
18 JEFF, IF I WERE TO LOOK AT THE NIH CONFLICT OF
19 INTEREST RULES, OURS WOULD BE NO NARROWER THAN
20 THEIRS ARE. IS THAT A FAIR STATEMENT, OR ARE OURS
21 NARROWER THAN THE NIH'S?

22 MR. STEIN: I CAN ADDRESS THAT A LITTLE
23 BIT. I ADMITTEDLY AM NOT AN EXPERT ON THE NIH
24 CONFLICT RULES. OUR RULES ARE BASED ON THE NIH
25 RULES, BUT THEY ARE NOT IDENTICAL TO. WE DID NOT

BARRISTERS' REPORTING SERVICE

1 ADOPT THEM LOCK, STOCK, AND BARREL. THERE ARE A FEW
2 PLACES WHERE OUR RULES DEVIATE FROM THE NIH RULES.

3 ONE EXAMPLE, I BELIEVE, HAS TO DO WITH
4 FINANCIAL BENEFITS THAT A REVIEWER MAY RECEIVE FROM
5 THE APPLICANT INSTITUTION AS OPPOSED TO FROM THE
6 GRANT ITSELF. OUR RULES HAVE A LIMIT OF \$5,000 IN A
7 YEAR, AND THE NIH RULE, AS I READ IT, NOW AT LEAST,
8 HAS A HIGHER THRESHOLD, \$10,000. SO THAT'S ONE
9 DIFFERENCE.

10 OUR RULES ARE, LIKE I SAID, LOOSELY BASED
11 IN THAT WE PROHIBIT PERSONAL AND PROFESSIONAL
12 CONFLICTS, BUT THEY ARE NOT IDENTICAL.

13 DR. JUELSGAARD: LET ME JUST ASK ABOUT A
14 SPECIFIC ONE BECAUSE THIS IS PERHAPS FOR ME ONE OF
15 THE MOST TROUBLING OF THE CONFLICT NOTIONS AND IN
16 SOME SENSE FOR ME IS ANTITHETICAL TO THE WHOLE
17 NATURE OF SCIENCE. AND SO I'LL, WITHOUT PICKING IT
18 OUT OF THE SPECIFIC, IT'S UNDER PROFESSIONAL
19 CONFLICTS, PICKING OUT THE EXACT NUMBER. IT'S
20 PERSON LISTED ON A GRANT APPLICATION AS A PRINCIPAL
21 INVESTIGATOR OR A CO-PRINCIPAL INVESTIGATOR AS
22 SOMEONE WHO WILL RECEIVE A SALARY OR CONSULTING FEE
23 OF \$5,000 OR MORE PER YEAR FROM THE GRANT IS A
24 PERSON WITH WHOM THE MEMBER HAS HAD LONG-STANDING
25 SCIENTIFIC DIFFERENCES OR DISAGREEMENTS THAT ARE

BARRISTERS' REPORTING SERVICE

1 KNOWN TO THE PROFESSIONAL COMMUNITY AND COULD BE
2 PERCEIVED AS AFFECTING THE MEMBER'S OBJECTIVITY.

3 SO I GUESS OVER THE YEARS IN MY
4 INVOLVEMENT WITH SCIENCE AND MORE PERHAPS NOT
5 DIRECTLY IN SCIENTIFIC JUDGMENTS, BUT MORE AS AN
6 OBSERVER, THE NOTION OF DIFFERENCES AND
7 DISAGREEMENTS HAVE BEEN, IN MY MIND AND MANY OTHERS,
8 I THINK, PERCEIVED AS A HEALTHY THING. SO THE
9 NOTION THAT SCIENCE IS MONOLITHIC IN NATURE IS, I
10 THINK, ABHORRENT TO A LOT OF PEOPLE.

11 SO WHAT PURPOSE DOES THIS PARTICULAR --
12 FIRST OF ALL, DOES THE NIH HAVE A SIMILAR SITUATION
13 AND WHAT PURPOSE DOES IT REALLY SERVE?

14 MR. STEIN: I BELIEVE IT DID AT THE TIME
15 THE RULES WERE ADOPTED. I CAN'T SPEAK TO WHAT THE
16 NIH RULES SAY PRECISELY ON THIS TOPIC AS OF TODAY.
17 NIH RECENTLY REVISED ITS RULES.

18 THE PURPOSE OF THE RULE IS A BELIEF THAT
19 SOMEONE WHO HAS LONG-STANDING SCIENTIFIC DIFFERENCES
20 WITH SOMEBODY INVOLVED IN THE RESEARCH GRANT COULD
21 BE PERCEIVED AS NOT BEING ABLE TO PROVIDE CIRM WITH
22 OBJECTIVE, MUTUAL ADVICE ABOUT THE PROJECT. THAT'S
23 THE BASIS FOR IT. AND IT SOUNDS TO ME LIKE YOU HAVE
24 A FUNDAMENTAL POLICY DISAGREEMENT WITH THAT.

25 DR. JUELSGAARD: SO REMEMBER THAT, AND I

BARRISTERS' REPORTING SERVICE

1 WENT TO MY FIRST GRANTS WORKING GROUP SESSION AT THE
2 LAST ONE, AND I WAS THERE FOR THE THREE DAYS THAT IT
3 WENT ON. AND I SAW I THINK THERE WERE AROUND 15
4 PEOPLE SITTING AROUND A TABLE, ALL MEMBERS OF THE
5 GRANTS WORKING GROUP, ALL HAVING THE OPPORTUNITY TO
6 EXPRESS THEIR OPINIONS ABOUT DIFFERENT ASPECTS OF
7 EACH APPLICATION THAT HAD BEEN PRESENTED. AND THE
8 NOTION OF SAYING TO SOMEBODY, "WELL, WE KNOW YOU
9 DISAGREE WITH WHAT THIS PERSON SAYS, SO THEREFORE
10 JUST BE QUIET. WE DON'T EVEN WANT TO HEAR FROM
11 YOU," I JUST FIND A LITTLE DISCONCERTING.

12 AT THE END OF THE DAY, LET OTHER PEOPLE
13 JUDGE. THERE ARE A WHOLE BUNCH OF PEOPLE PROVIDING
14 VOTES, IF YOU WILL; THAT IS, SCORES. AND THE IDEA
15 THAT ONE PERSON WOULD SOMEHOW DRASTICALLY AFFECT THE
16 OUTCOME OF THIS BECAUSE THEY CAN SWAY ALL THE OTHER
17 PEOPLE, IN ESSENCE, WHAT YOU'RE DOING IS YOU'RE
18 SIMPLY SAYING WE DON'T WANT TO HEAR FROM YOU. WE
19 BELIEVE THAT YOUR OPINION, BECAUSE YOU DON'T AGREE
20 WITH SOMEBODY ELSE, ISN'T WORTH BEING HEARD. AND AS
21 I SAID, I JUST FIND THAT PRETTY DISCONCERTING.

22 CHAIRMAN THOMAS: DR. Krontiris had a
23 comment, I believe, on this point. Okay. Let's go
24 to Dr. Sambrano and then Marcy Feit, please.

25 DR. SAMBRANO: I just want to clarify.

BARRISTERS' REPORTING SERVICE

1 MR. JUELSGAARD, I THINK YOU'RE CORRECT. WE DO WANT
2 TO ENCOURAGE REVIEWERS WHO HAVE DIFFERENCES OF
3 OPINION TO EXPRESS THOSE DIFFERENCES OF OPINION. I
4 THINK WHAT THIS RULE IS TRYING TO CAPTURE IS WHEN
5 YOU HAVE A LONG-STANDING VIEW. FOR EXAMPLE, IF YOU
6 HAVE A SCIENTIST WHO ADAMANTLY BELIEVES THIS CANNOT
7 POSSIBLY BE TRUE, I AM NOT EVEN GOING TO CONSIDER
8 THIS PROPOSAL BECAUSE THIS IS WHAT I BELIEVE, OR
9 BECAUSE IN VENUES SUCH AS CONFERENCES, A CERTAIN
10 REVIEWER HAS SPOKEN OUT AGAINST THIS INVESTIGATOR,
11 THAT RISES TO A DIFFERENT LEVEL OF DISAGREEMENT
12 WHICH WE ARE TRYING TO CAPTURE HERE WHERE WE THINK
13 THIS IS NOT GOING TO BE A REVIEWER WHO'S GOING TO
14 PROVIDE AN UNBIASED, FAIR REVIEW.

15 SOMEBODY WHO MAY DISAGREE IS A NORMAL PART
16 OF THE PROCESS OF REVIEWING ANY SCIENTIFIC PROPOSAL.
17 IT'S JUST WE WANT TO PUT IT IN A SETTING WHERE AND
18 IN A CONTEXT WHERE THAT PROPOSAL IS GOING TO BE
19 LOOKED AT OPENLY AND BOTH THE GOOD AND THE BAD AND
20 THE AGREEMENTS AND DISAGREEMENTS ARE GOING TO COME
21 INTO PLAY IN A FAIR WAY.

22 DR. JUELSGAARD: WELL, I HEAR THAT ANSWER
23 AND I GUESS JUST FUNDAMENTALLY IT DOESN'T RING FOR
24 ME, TRUE THAT IS. THE IDEA THAT BY -- WE WOULD CALL
25 THIS IN THE WORLD THAT I DEAL WITH SORT OF A PRIMA

BARRISTERS' REPORTING SERVICE

1 FACIE CASE THAT WHAT THE APPLICANT PRESENTS IS TRUE.
2 AND THE IDEA THAT THERE'S SOMEBODY WHO STRONGLY
3 OBJECTS TO WHAT THEY'RE PRESENTING OR THEIR BELIEF
4 SYSTEM IS NOT TO BE HEARD, IN ESSENCE, GIVES A REAL
5 LEG UP TO THAT PARTICULAR APPLICANT. YOU SIMPLY BOX
6 OUT AN ALTERNATIVE POINT OF VIEW.

7 SO I'M BACK TO THE QUESTION OF, ONE, DOES
8 THE NIH REALLY HAVE THAT AS A POLICY? AND TWO, DO
9 WE REALLY THINK THAT THAT'S A POLICY THAT WE SHOULD
10 FOLLOW?

11 MR. STEIN: THEY DO HAVE THIS AS A POLICY.
12 AND, AGAIN, THE POINT OF THIS RULEMAKING PROCESS IS
13 TO SOLICIT INPUT FROM THE BOARD ABOUT HOW THE RULE
14 SHOULD BE AMENDED. AND WE CAN CERTAINLY GO BACK AND
15 LOOK AT THAT ISSUE IN MORE DETAIL AS PART OF THIS
16 RULEMAKING PROCESS. LIKE I SAID, WE'RE NOT HERE
17 ASKING FOR APPROVAL OF THE PROPOSED AMENDMENTS
18 TODAY. THIS IS REALLY THE START OF A PROCESS. AND
19 IF THE BOARD WANTS TO GO BEYOND MAKING THE
20 CLARIFICATIONS AND OTHER CHANGES THAT WE PUT FORWARD
21 SO FAR, WE CAN CERTAINLY DO THAT. WE CAN EXAMINE
22 ANY OF THE POLICY ISSUES THAT UNDERLIE THESE RULES.

23 MS. FEIT: JUST A COMMENT IN CONCERT WITH
24 DR. JUELSGAARD ABOUT THIS ITEM. YOU KNOW, IF WE'RE
25 ENCOURAGING COLLABORATION, AND WE ARE, AT SOME POINT

BARRISTERS' REPORTING SERVICE

1 WE'RE GOING TO RUN INTO WHERE WE CAN'T HAVE ANYBODY
2 REVIEW BECAUSE EVERYBODY IS COLLABORATING. THAT'S
3 MY CONCERN BECAUSE WE ARE ENCOURAGING THAT ACROSS
4 THE BOARD. SO THAT'S ONE ISSUE.

5 BUT THE SECOND ISSUE, TO SPEAK ON THE
6 CONFLICT, IS IN ALL THE TIMES THAT I'VE SAT IN ON
7 THE GRANT REVIEWS, WHENEVER THERE'S AN OUTLIER
8 SCORE, OBVIOUSLY THAT REPRESENTS AN OUTLYING
9 OPINION, I'M ALWAYS IMPRESSED HOW IT'S RESOLVED AND
10 BROUGHT MORE TO THE CENTER. I THINK THERE HAS ONLY
11 BEEN ONE TIME WHERE THERE WAS JUST ONE MINOR COMMENT
12 MADE BY A RESEARCHER, AND IT DID NOT AFFECT THE
13 REVIEW AND IT DID NOT AFFECT THE OUTCOME.

14 BUT I DO FEEL THAT HAVING THE DIVERSE
15 OPINION IS REALLY IMPORTANT. AND I WOULDN'T WANT TO
16 HAVE ANYTHING IN WRITING THAT WOULD DAMP THAT
17 BECAUSE WHEN YOU LISTEN TO THE REVIEWERS, THEY
18 REALLY ARE SINCERE ABOUT THE DIRECTION AND THEIR
19 EXPERIENCE. AND I THINK IT'S IMPORTANT, AND THEY
20 DON'T ALWAYS AGREE.

21 CHAIRMAN THOMAS: MR. JUELSGAARD.

22 DR. JUELSGAARD: JUST TO MOVE BEYOND THIS,
23 AND I UNDERSTAND THAT THIS IS STILL A WORK IN
24 PROGRESS AND THERE ARE WAYS TO HAVE INPUT, AND THERE
25 ARE A NUMBER OF THINGS, SOME LANGUAGE THINGS THAT

BARRISTERS' REPORTING SERVICE

1 ARE IN HERE THAT I THINK REALLY ARE VERY AMBIGUOUS.
2 THE NOTION OF A CLOSE PERSONAL FRIEND, FOR EXAMPLE,
3 HOW THE HECK IS THAT DEFINED? AND ON PERSONAL
4 DIFFERENCES, HOW IS THAT DEFINED?

5 PUTTING THAT ASIDE, LET ME ASK YOU.
6 THERE'S ONE PROVISION THAT CALLS FOR DISCLOSURE, AND
7 THERE ARE POTENTIALLY SIX DIFFERENT DISCLOSURES THAT
8 MIGHT HAVE TO BE MADE. THESE ARE ABOUT FINANCIAL
9 HOLDINGS OR OTHER -- FINANCIALLY RELATED HOLDINGS.
10 WHAT HAPPENS WHEN THESE DISCLOSURES TAKE PLACE?
11 WHAT'S THE RESULT OF THE DISCLOSURES? WHAT HAPPENS
12 AS A CONSEQUENCE OF THEM? SO, FOR EXAMPLE, SOMEBODY
13 HAS AN INVESTMENT IN A PRIVATELY HELD BIOTECHNOLOGY
14 COMPANY.

15 MR. STEIN: THE DISCLOSURE REQUIREMENT IS
16 REALLY WHAT I WOULD CALL A BACKSTOP OR ANOTHER
17 SOURCE OF INFORMATION THAT CIRM STAFF RELIES ON TO
18 DETERMINE WHETHER OR NOT A REVIEWER MAY HAVE A
19 CONFLICT. IN THE FIRST INSTANCE WE RELY ON THE
20 REVIEWERS THEMSELVES TO SCREEN THEMSELVES FOR
21 POTENTIAL CONFLICTS, PERSONAL, FINANCIAL, OR
22 PROFESSIONAL. WE GIVE EACH REVIEWER A LONG LIST OF
23 THE PI, THE CO-PI, AND ANYBODY ELSE INVOLVED IN THE
24 GRANT AND ASK THEM TO GO OFF AND CHECK A CONFLICT
25 WITH ANYBODY WITH WHOM THEY HAVE A CONFLICT UNDER

BARRISTERS' REPORTING SERVICE

1 THE RULES.

2 THE DISCLOSURE FORM THAT YOU'RE TALKING
3 ABOUT, THE DISCLOSURE REQUIREMENT, GIVES US ANOTHER
4 SOURCE OF INFORMATION AGAINST WHICH WE CAN CHECK
5 WHETHER OR NOT THE REVIEWER MAY HAVE A CONFLICT WITH
6 A PARTICULAR APPLICATION. SO IF A REVIEWER, FOR
7 EXAMPLE, HAD AN INVESTMENT IN A PRIVATE BIOTECH
8 COMPANY AND THAT COMPANY WERE INVOLVED IN SOME
9 FASHION IN THE GRANT BEING REVIEWED, WE WOULD BE
10 AWARE OF THAT.

11 DR. JUELSGAARD: I UNDERSTAND THAT. AND
12 THAT PARTICULAR CASE IS, I THINK, AN APPROPRIATE
13 CASE. AND THE LANGUAGE IF IT SAID HAS AN INVESTMENT
14 IN A PRIVATELY HELD BIOTECHNOLOGY COMPANY THAT IS
15 SOMEHOW INVOLVED IN A GRANT APPLICATION PROCESS,
16 WELL, THEN, WE'RE RIGHT ON POINT. THIS IS SO BROAD.
17 IT JUST SAYS, WELL, IF YOU OR ANY IMMEDIATE MEMBER
18 OF YOUR FAMILY HAS ANY SORT OF INVESTMENT, IT
19 DOESN'T SPECIFY ANY DOLLAR AMOUNT, IN A PRIVATELY
20 HELD BIOTECHNOLOGY COMPANY, PLEASE DISCLOSE IT TO
21 US.

22 AND FOR ME I APPRECIATE PEOPLE MAKING
23 DISCLOSURES. I THINK THEY'RE IMPORTANT, BUT THEY'RE
24 IMPORTANT IN CONTEXT, NOT IMPORTANT IN GENERAL. I
25 THINK YOU REALLY -- I'M A BIG BELIEVER IN THE RIGHT

BARRISTERS' REPORTING SERVICE

1 OF PRIVACY AND PEOPLE'S ABILITY TO PROTECT THEIR OWN
2 PERSONAL INFORMATION EXCEPT WHERE THE RULES REQUIRE
3 OTHERWISE. FOR ME IT'S IMPORTANT TO DRAW LINES THAT
4 REALLY MAKE A DIFFERENCE. WHERE IS IT IMPORTANT
5 THAT YOU HAVE DISCLOSURE, AND DRAW THE LINE THERE AS
6 OPPOSED TO MORE GENERALLY.

7 MR. STEIN: WITH RESPECT TO THE SPECIFIC
8 RULE YOU'RE TALKING ABOUT IN THE SITUATION YOU POSE,
9 THE RULES PROHIBIT REVIEWERS FROM RECEIVING INCOME
10 OR COMPENSATION OF ANY AMOUNT FROM THE GRANT ITSELF,
11 BUT ALSO THEY PROHIBIT INDIRECT FINANCIAL BENEFITS.
12 SO THAT IF A REVIEWER STANDS TO RECEIVE \$5,000 OR
13 MORE OR HAS AN INVESTMENT WORTH \$5,000 OR MORE IN
14 THE COMPANY ITSELF UNRELATED TO THE PROPOSAL, THAT'S
15 A CONFLICT AS WELL. SO THAT'S WHY, AT LEAST UNDER
16 THE PRESENT RULES, WE REQUIRE THOSE DISCLOSURES.

17 DR. JUELSGAARD: BUT IF YOU SAID AN
18 INVESTMENT OF \$5,000 OR MORE BY A MEMBER OR HIS
19 IMMEDIATE FAMILY, AND THIS IS JUST IN ANY PRIVATE
20 BIOTECHNOLOGY COMPANY, BUT ONE MORE RELATED TO THE
21 NATURE OF THE APPLICATION DIRECTLY OR INDIRECTLY, I
22 THINK THAT WOULD BE A LOT MORE RELEVANT THAN THIS
23 SORT OF BROAD, SWEEPING NET-LIKE APPROACH TO TELL US
24 ABOUT ANY INVESTMENT YOU HAVE NO MATTER HOW MINOR IN
25 ANY PRIVATELY HELD BIOTECHNOLOGY COMPANY NO MATTER

BARRISTERS' REPORTING SERVICE

1 WHAT KIND OF TECHNOLOGY THEY'RE ASSOCIATED WITH,
2 SOMETHING THAT COULD BE COMPLETELY UNRELATED TO
3 ANYTHING WE'RE EVER INVOLVED WITH.

4 AGAIN, IT'S THE SPECIFICITY OF THESE
5 THINGS, AND IT GOES TO THE MATTER OF DISCLOSURE, AND
6 IT GOES TO HOW BROAD A NET WE'RE GOING TO CAST. AND
7 MY CONCERN IS THAT WE CAST IT NO BROADER THAN IS
8 NECESSARY TO ASSURE OURSELVES THAT WE DON'T HAVE A
9 CONFLICT OF INTEREST AND LET ALL THE REST OF IT
10 REMAIN PRIVATE UNLESS PEOPLE WANT TO DISCLOSE
11 THEMSELVES.

12 MR. STEIN: AGAIN, ALL OF THIS INPUT IS
13 IMPORTANT AND THAT'S WHY WE'RE HERE. WE CAN
14 CERTAINLY TAKE THAT BACK AND LOOK AT THE DISCLOSURE
15 REQUIREMENTS IN THE RULES AND COME BACK TO YOU WITH
16 AMENDMENTS.

17 DR. JUELSGAARD: THANK YOU.

18 CHAIRMAN THOMAS: MR. SHEEHY.

19 MR. SHEEHY: MAYBE NOW MIGHT BE
20 APPROPRIATE TO MAKE MY MOTION BECAUSE IT SOUNDS LIKE
21 WE HAVE A LOT OF ISSUES. I THINK IT WOULD BE VERY
22 HELPFUL, AND I'D LIKE TO ADD THIS TO MY MOTION. IT
23 MIGHT BE POSSIBLE TO SEE IF EITHER -- I DON'T KNOW
24 IF ZACH HALL IS STILL IN CALIFORNIA. I KNOW ARLENE
25 CHIU IS AROUND. MAYBE THE ARCHITECTS OF OUR

BARRISTERS' REPORTING SERVICE

1 POLICIES COULD COME.

2 PART OF WHAT WE NEED TO DO IS HAVE OUR
3 POLICIES BE CONSISTENT WITH WHAT PEOPLE GENERALLY DO
4 IN AN NIH SETTING JUST SO THAT PEOPLE AREN'T HAVING
5 TO KIND OF RESTRUCTURE HOW THEY THINK ABOUT THESE
6 THINGS. SO I THINK HAVING SOME SORT OF COHERENCE
7 WITH WHAT HAPPENS AT NIH AND HAVING SOME DISCUSSION
8 OF HOW THESE RULES CAME INTO PLACE WOULD BE HELPFUL,
9 BUT I REALLY THINK THAT THIS NEEDS TO GO BACK TO
10 COMMITTEE BECAUSE I DON'T THINK WE CAN SOLVE THIS
11 TODAY. AND I DON'T THINK STARTING THE RULEMAKING
12 PROCESS IS AN APPROPRIATE WAY TO BEGIN THIS
13 DISCUSSION. I THINK IT'S BETTER TO STOP NOW, SEND
14 THIS ALL BACK TO COMMITTEE. AND THEN I THINK
15 STEVE'S ISSUES SHOULD BE ADDRESSED.

16 AND, YOU KNOW, WE'VE TALKED ABOUT SOME OF
17 THE DILEMMAS WITH WHETHER OR NOT WE'RE GETTING
18 ADEQUATE AMOUNT OF INDUSTRY PEOPLE INVOLVED IN THE
19 GRANTS WORKING GROUP. SO THIS MAY HAVE BEEN AN
20 UNINTENTIONAL BARRIER. SO I THINK ALL THE QUESTIONS
21 THAT STEVE IS ASKING OR ALL THE QUESTIONS THAT STEVE
22 IS ASKING MIGHT BE PART OF THAT DISCUSSION AS WELL.

23 CHAIRMAN THOMAS: MR. HARRISON, IS THIS
24 SOMETHING THAT REQUIRES A MOTION, OR CAN I REFER IT
25 TO COMMITTEE WITHOUT A VOTE?

BARRISTERS' REPORTING SERVICE

1 MR. HARRISON: IT'S AT YOUR PREFERENCE.

2 IF MR. SHEEHY WANTS TO MAKE A MOTION --

3 CHAIRMAN THOMAS: MOVED BY MR. SHEEHY.

4 SECONDED BY SENATOR TORRES. ANY FURTHER DISCUSSION
5 BY MEMBERS OF THE BOARD? COMMENTS FROM MEMBERS OF
6 THE PUBLIC? HEARING NONE, ALL THOSE IN FAVOR -- MR.
7 HARRISON.

8 MR. HARRISON: CAN I JUST CLARIFY THE
9 MOTION SO STAFF UNDERSTAND? ARE YOU ASKING THAT IT
10 BE REFERRED TO THE GOVERNANCE SUBCOMMITTEE?

11 CHAIRMAN THOMAS: YES. IS THAT THE
12 APPROPRIATE COMMITTEE, MR. HARRISON?

13 MR. SHEEHY: IS IT MOST COMFORTABLE
14 LEAVING IT AT THE DISCRETION OF THE CHAIR? IT'S UP
15 TO YOU, J.T. LIKE I SAID, I DON'T THINK ANYBODY HAS
16 REALLY THOUGHT ABOUT THIS. SO WHATEVER YOU WANT TO
17 DO IS FINE WITH ME.

18 CHAIRMAN THOMAS: I THINK REFERRING IT IS
19 ABSOLUTELY THE CORRECT THING TO DO. I'M ASKING MR.
20 HARRISON WHICH OF THE SUBCOMMITTEES WOULD BE
21 APPROPRIATE. I BELIEVE IT WOULD BE GOVERNANCE.

22 SO THE MOTION IS TO REFER THIS DISCUSSION
23 TO GOVERNANCE, TO HOLD OFF INITIATING THE RULEMAKING
24 PROCEDURE PENDING THAT REVIEW, AND THEN WE WILL
25 PROCEED FROM THERE.

BARRISTERS' REPORTING SERVICE

1 ALL THOSE IN FAVOR PLEASE SAY AYE.
2 OPPOSED? MOTION CARRIES. THANK YOU, MR. STEIN.
3 THANK YOU, DR. SAMBRANO. THANK YOU, MR. SHEEHY, MR.
4 JUELSGAARD, FOR YOUR VERY VALUABLE COMMENTS.

5 NEXT ITEM, ALWAYS THE MOST CONTROVERSIAL
6 ON THE MENU, CONSIDERATION OF MINUTES FROM THE LAST
7 BOARD MEETINGS. DO I HEAR A MOTION TO APPROVE?

8 MR. JUELSGAARD: SO MOVED.

9 CHAIRMAN THOMAS: SECONDED BY DR.
10 PETERSON.

11 ALL THOSE IN FAVOR PLEASE SAY AYE.
12 OPPOSED? MR. HARRISON. THREE ITEMS IN A ROW YOU'VE
13 RAISED YOUR HAND, MR. HARRISON. IT'S VERY
14 DISTRESSING. ANY COMMENTS BY MEMBERS OF THE PUBLIC
15 ON THE MINUTE MOTION? HEARING NONE, ALL THOSE
16 APPROVE PLEASE SAY AYE. OPPOSED? MOTION CARRIES.

17 SO THAT CONCLUDES THE ACTION ITEMS FOR
18 TODAY. MOVE ON TO DISCUSSION ITEMS, FIRST OF WHICH
19 IS THE LATEST IN THE SERIES OF UPDATES ON CIRM'S
20 TRANSLATIONAL PROGRAM WILL BE PRESENTED BY DR. OLSON
21 AND DR. FEIGAL.

22 DR. OLSON: CHAIRMAN THOMAS, MEMBERS OF
23 THE BOARD, MEMBERS OF THE PUBLIC, WHAT I'D LIKE TO
24 DO -- WHAT DR. FEIGAL AND I WOULD LIKE TO DO TODAY
25 IS GIVE YOU AN UPDATE, FOLLOW-ON FROM PRESENTATIONS

BARRISTERS' REPORTING SERVICE

1 PREVIOUSLY MADE TO YOU IN JANUARY AND MARCH OF THIS
2 YEAR, AND THAT WE LOOK FORWARD TO BEING AN ONGOING
3 EVENT.

4 SO WHAT I'D FIRST LIKE TO REMIND THE BOARD
5 OF IS THAT WE ARE IN THE STRATEGIC PLAN THAT YOU
6 APPROVED IN THE MIDDLE OF LAST YEAR, WE ARE IN THE
7 STAGE OF WHAT WE CALL FOCUS. THAT IS, WE ARE TRYING
8 TO PRIORITIZE THOSE PROJECTS AND INVESTMENTS THAT
9 WILL LEAD TO THE DISCOVERY AND DEVELOPMENT OF CURES,
10 THERAPIES TO RELIEVE PATIENT SUFFERING AND USING
11 STEM CELL THERAPIES TO DO THIS. SO DISCOVERY AND
12 DEVELOPMENT OF THESE THINGS. IN PARTICULAR, WE'RE
13 AT THE STAGE WHERE WE WANT TO THINK ABOUT, WE WANT
14 TO DRIVE CLINICAL TRIALS FOR PATIENTS TO GENERATE
15 PRELIMINARY EVIDENCE OF CLINICAL BENEFIT.

16 I THINK WE ALL KNOW THAT THE PROCESS FROM
17 DISCOVERY TO THERAPY IS A LONG ONE. WE BELIEVE THAT
18 WE'RE AT THE STAGE WHERE WE CAN START TAKING THOSE
19 STEPS TO ACTUALLY DEFINE THE FIRST HINTS OF CLINICAL
20 BENEFIT. AND THEN IN ORDER TO THINK ABOUT MOVING
21 THESE THINGS FORWARD, IN ORDER TO THINK ABOUT
22 LEVERAGING CIRM'S RESOURCES, WE ARE ALSO ENCOURAGING
23 THE FORMATION OF PARTNERSHIPS. SO THOSE WERE SOME
24 OF THE CRITICAL POINTS IN THAT POINT.

25 I JUST WANT TO REMIND YOU THAT THE PROGRAM

BARRISTERS' REPORTING SERVICE

1 WE'RE GOING TO TALK ABOUT COVERS THE PRODUCT
2 DEVELOPMENT SPECTRUM. THE EARLY TRANSLATIONAL OR
3 THE RESEARCH PROGRAMS, THE DISEASE TEAM PROGRAM HAVE
4 SPANNED DIFFERENT STAGES ALONG THAT PIPELINE. YOU
5 CAN SEE THAT THE DISEASE TEAM I SHOWED A LOT OF
6 OVERLAP, THE GOAL OF THAT PROGRAM AN IND.
7 SUBSEQUENT PROGRAMS HAVE FOCUSED ON MOVING INTO THE
8 CLINIC AND COMPLETING CLINICAL TRIALS. SO THIS IS
9 WHAT WE ARE GOING TO BE TALKING ABOUT.

10 JUST A BIT OF STATISTICS HERE. THIS IS
11 WHERE THE PROGRAM STANDS AT THIS POINT. THERE HAVE
12 BEEN 98 AWARDS MADE, PROJECTS HAVE BEEN AWARDED FOR
13 A TOTAL OF \$700 MILLION. MANY OF THESE ARE JUST
14 GETTING STARTED, BUT WE ACTUALLY HAVE A LITTLE BIT
15 OF HISTORY ON SOME OF THEM. SO WE LOOK FORWARD TO
16 TELLING YOU.

17 WHAT I WANT TO FOCUS ON NOW IS I WANT TO
18 FOCUS ON THE EARLY TRANSLATIONAL RESEARCH PROGRAM.
19 THERE ARE DISCOVERIES MADE IN BASIC SCIENCE. THIS
20 IS THE PROGRAM THAT ACTUALLY ENABLES THE EARLY STEPS
21 THAT ARE REQUIRED FOR THE TRANSLATION OF PROMISING
22 AND INNOVATIVE STEM CELL DISCOVERIES. THIS IS THE
23 CHANCE FOR INVESTIGATORS TO TEST THERAPEUTIC
24 HYPOTHESES. AND IF THOSE HYPOTHESES ARE BORNE OUT,
25 TO START TAKING THE STEPS THAT WILL ENABLE A ROBUST

BARRISTERS' REPORTING SERVICE

1 DECISION AS TO WHETHER THERE IS SOMETHING THAT CAN
2 BE MOVED INTO CLINICAL TRIALS. I REMIND YOU ALL YOU
3 HEAR THESE NUMBERS ABOUT \$2 BILLION THAT ARE
4 REQUIRED TO GET ONE THERAPEUTIC. A LOT OF IT IS THE
5 FAILURES THAT OCCUR IN RESEARCH BECAUSE YOU HAVE A
6 LOT OF HYPOTHESES IN RESEARCH THAT NEVER MAKE IT.
7 BUT IF YOU DON'T DO THE WORK, YOU NEVER KNOW. SO
8 THAT'S ONE THING.

9 SO FOR THE EARLY TRANSLATION IN
10 PARTICULAR, FOR THE PROGRAMS SINCE INCEPTION, WE'VE
11 HAD THREE MAIN GOALS. ACHIEVE IN VITRO OR IN VIVO
12 PROOF OF CONCEPT. SO WE ASK THE PROGRAMS TO TARGET
13 THAT. THAT'S ONE THING. THAT'S OUR DEVELOPMENT
14 CANDIDATE FEASIBILITY AWARD. OR ACHIEVE A
15 DEVELOPMENT CANDIDATE READY TO MOVE INTO
16 IND-ENABLING PRECLINICAL DEVELOPMENT. THAT'S OUR
17 DEVELOPMENT CANDIDATE AWARD. AND, AGAIN, I REMIND
18 YOU THIS IS MOVING A STEP -- IT'S STILL HYPOTHESIS
19 TESTING, BUT IT'S STARTING TO DO THE
20 CHARACTERIZATION. IT'S STARTING TO SHOW ROBUST
21 DISEASE MODIFICATION ACTIVITY. IT'S STARTING TO SAY
22 WHAT ARE THE ISSUES I'M GOING TO FACE IN MAKING
23 THIS? WHAT DO I SEE IN TERMS OF VERY PILOT SAFETY?
24 SO IT'S STARTING TO THINK ABOUT THOSE THINGS.

25 AND THEN THE FIRST PROGRAM WE DID, OUR

BARRISTERS' REPORTING SERVICE

1 FIRST EARLY TRANSLATIONAL AWARD, ALSO INCLUDED A
2 BOTTLENECK COMPONENT. WHAT ARE SOME OF THE MAIN
3 BOTTLENECKS? THAT HAS SINCE, I THINK, BEEN TAKEN
4 OVER BY THE TOOLS AND TECHNOLOGIES, BUT I DO WANT TO
5 AT LEAST TALK ABOUT THOSE HERE.

6 THIS IS JUST A SLIDE THAT GIVES YOU A LOT
7 OF THE DETAILS ABOUT IT. IT NOTES THAT -- I DO WANT
8 TO REMIND YOU OF THIS, THAT BASICALLY THAT FIRST
9 EARLY TRANSLATION PROGRAM STARTED AT PRETTY MUCH THE
10 END OF 2009. AND AS YOU KNOW, THE FIRST DISEASE
11 TEAM AWARD DIDN'T EVEN START UNTIL 2010. SO THIS
12 JUST GIVES YOU SOME FOR YOUR INFORMATION.

13 I AM GOING TO FOCUS MY UPDATE TODAY
14 PRIMARILY ON THE ET I PROGRAMS AND SOME OF THE ET II
15 PROGRAMS. MOST OF THE ET I PROJECTS ARE FINISHED AT
16 THIS POINT, NOT ALL OF THEM, BUT MOST OF THEM. AND
17 SO THAT'S WHAT I'M GOING TO TALK ABOUT.

18 THIS IS A VISUAL OF HOW THE MONEY AND THE
19 NUMBERS GO WITHIN THIS ET PROGRAM. SO YOU CAN
20 SEE -- OH, I ACTUALLY DID WANT TO MAKE A POINT, AND
21 I THINK I HIGHLIGHTED THIS IN THE DETAIL SLIDE, THAT
22 THE BOARD HAS COMMITTED 256 MILLION TO THIS PROGRAM.
23 SO FAR WE'VE ACTUALLY SPENT 241. SO WHAT THE BOARD
24 COMMITS THEN STAFF GOES THROUGH, AND SOME EXPENSES
25 ARE NOT ALLOWED, SOME FACILITIES B COSTS COME OFF,

BARRISTERS' REPORTING SERVICE

1 AND THERE IS AN OCCASIONAL AWARD WHERE WE REDUCE OR
2 AN AWARD HAS BEEN STOPPED IN ONE INSTANCE. THE
3 ACTUALS, THE NUMBERS I WILL ALWAYS GIVE YOU ARE WHAT
4 THE BOARD COMMITTED BECAUSE THE OTHERS ARE ALWAYS
5 CHANGING. AND THAT'S ACTUALLY WHAT YOU GET WHEN I
6 DO THE FINANCIAL UPDATE ON THE RFA FUNDING.

7 ANOTHER THING THAT I WANTED TO REMIND YOU
8 ABOUT, AS PART OF THIS MOVE THE STEM CELL FIELD
9 FORWARD, AS PART OF THE DISCOVERY, WE HAVE HAD
10 PRETTY MUCH A PRIORITY IN THE EARLY TRANSLATION
11 PROGRAM. AND THEY'RE LISTED HERE. ADVANCE CELL
12 THERAPIES DERIVED FROM PLURIPOTENT STEM CELLS. THAT
13 IS UNIQUELY -- CELL THERAPY, I THINK, IS UNIQUELY
14 WITHIN OUR REMIT. PLURIPOTENT STEM CELLS, BECAUSE
15 THEY REPRESENT AN INEXHAUSTIBLE STARTING MATERIAL
16 FOR POTENTIAL CELL THERAPIES THAT REALLY CAN MAKE A
17 SIGNIFICANT DIFFERENCE AND I EMPHASIZE THAT. I
18 DON'T THINK ANYBODY WANTS TO GO THROUGH THE
19 CHALLENGES OF A CELL THERAPY WHERE IT DOESN'T MAKE
20 SENSE. BUT THIS HAS BEEN A FOCUS. AND YOU CAN GET
21 CELLS FROM PLURIPOTENT CELLS THAT YOU SIMPLY CANNOT
22 GET IN ANY OTHER WAYS.

23 ADVANCE THERAPEUTIC CANDIDATES USING CELLS
24 DERIVED FROM HUMAN PLURIPOTENT CELLS. THIS IS THE
25 WHOLE UNDERSTANDING DISEASE MECHANISM. THIS IS THE

BARRISTERS' REPORTING SERVICE

1 WHOLE BEING ABLE TO USE THE ACTUAL CELL TYPE INSTEAD
2 OF A MURINE NEURON OR SOMETHING TO SCREEN WITH
3 DRUGS. SO THIS HAS BEEN A PRIORITY IN AT LEAST TWO
4 OF THE FOUR.

5 AND THEN FINALLY, AS I NOTED IN ET I, WE
6 ALSO FOCUSED ON BOTTLENECKS TO THE ADVANCEMENT TO
7 THE CLINIC OF EFFECTIVE, NOVEL CELL THERAPEUTICS,
8 PARTICULARLY THOSE THAT WERE DERIVED FROM
9 PLURIPOTENT STEM CELLS.

10 I JUST WANTED TO MAKE THE POINT THAT THESE
11 PRIORITIES HAVE, IN FACT, BEEN REFLECTED IN OUR
12 FUNDING -- IN THE RECOMMENDATIONS OF THE GRANTS
13 WORKING GROUP TO THIS BOARD AND IN OUR FUNDING
14 DECISIONS. SO ROUGHLY 37 PERCENT OF THE PROJECTS
15 AND THE DOLLARS HAVE GONE TO THE EARLY TRANSLATIONAL
16 STUDIES ON CELL THERAPIES THAT ARE DERIVED FROM
17 PLURIPOTENT STEM CELLS. ROUGHLY 11 TO 15 PERCENT OF
18 THE PROJECTS AND THE DOLLARS ALLOCATED TO THIS
19 PROGRAM HAVE GONE TO THE USE OF THE DERIVATIVES OF
20 PLURIPOTENT STEM CELLS FOR DISCOVERY. AND THEN,
21 AGAIN, ROUGHLY 9 PERCENT HAVE GONE TO ESSENTIALLY
22 DEALING WITH SOME OF THE CHALLENGES PARTICULARLY
23 FOCUSED ON PLURIPOTENT STEM CELLS.

24 THE OTHER 47 PERCENT OR SO HAS GONE TO
25 OTHERS. AND, AGAIN, HERE IS THE DETAIL ON THAT FOR

BARRISTERS' REPORTING SERVICE

1 YOUR INFORMATION.

2 OKAY. SO I JUST WANT TO GIVE YOU SORT OF
3 A BROAD HIGHLIGHT. HOW ARE WE WORKING TO ADVANCE
4 THE FIELD? YOU WORK TO ADVANCE THE FIELD BY
5 PUBLISHING YOUR RESULTS. YOU TRY AND PROTECT CIRM'S
6 INVESTMENT. OR IF YOU REALLY HAVE SOMETHING NOVEL,
7 YOU FILE INVENTIONS. AND THEN WE'RE ALSO TRYING TO
8 LEVERAGE. SO JUST THESE KINDS OF THINGS. AND THESE
9 ARE ALMOST ALL DUE TO ESSENTIALLY FROM THE EARLY
10 TRANSLATION I AND II PROGRAMS. ET III PROJECTS
11 HAVEN'T EVEN COMPLETED THEIR FIRST FULL YEAR YET.
12 SO THERE'S VIRTUALLY NO CONTRIBUTION FROM THIS. AND
13 ET IV WAS JUST APPROVED BY THIS BOARD AT THEIR
14 AUGUST MEETING, SO THEY ARE BASICALLY JUST BEING
15 LAUNCHED.

16 AS YOU CAN SEE, OUR INVESTIGATORS ARE
17 MAKING CONTRIBUTIONS TO THE FIELD. THEY ARE
18 ADVANCING THE FIELD. THEY ARE ATTRACTING
19 CO-FUNDING. THE EARLY TRANSLATION PROGRAM HAS
20 ACTUALLY BEEN ONE OF THE MORE POPULAR PROGRAMS FOR
21 COLLABORATIVE FUNDING PARTNERS. WE'VE HAD FIVE
22 DIFFERENT PARTNERS WHO CONTRIBUTE OVER 14.3 MILLION
23 TO 14 AWARDED PROGRAMS WHICH LEVERAGED 55 MILLION IN
24 CIRM INVESTMENT.

25 THIS JUST SHOWS YOU AS A SCHEMATIC THE

BARRISTERS' REPORTING SERVICE

1 STAGES IN THE RESEARCH PIPELINE COVERED BY THESE
2 SPECIFIC TYPES OF AWARDS.

3 WHAT I'D LIKE TO DO NOW IS GO THROUGH THE
4 DIFFERENT TYPES OF AWARDS AND JUST GIVE YOU BRIEFLY
5 HIGHLIGHTS FROM EACH OF THESE PROJECTS, PARTICULARLY
6 FOR ET I AND SOME OF THE ET IIS AS WELL.

7 SO BOTTLENECKS AWARDS, THERE WERE EIGHT
8 AWARDS THAT WERE FOCUSED ON TWO CATEGORIES. ONE WAS
9 BETTER MODELS FOR DEVELOPING OR TESTING CANDIDATE
10 THERAPIES. THREE AWARDS FELL WITHIN THAT CATEGORY.
11 AND THEN THE OTHER CATEGORY WAS REALLY
12 CHARACTERIZING OR MITIGATING THE RISKS OF
13 PSC-DERIVED CELL THERAPIES. AND THAT INCLUDED FIVE
14 AWARDS.

15 SO JUST TO LOOK AT BETTER MODELS, THERE
16 WERE THREE. AND I TALKED ABOUT SOME OF THESE A
17 LITTLE BIT IN JANUARY. SO JUST REMIND YOU THE
18 JACKSON LABORATORY WEST HAS ACTUALLY RELEASED THREE
19 MODELS THAT ARE SUITABLE FOR USE OF HUMAN CELLS, AND
20 ONE OF THESE ARE THE BEST MODELS IN MULTIPLE
21 SCLEROSIS, PARKINSON'S DISEASE, AND TYPE 1 DIABETES.
22 THEY NOW ARE NEAR RELEASE OF FIVE OTHER MODELS. SO
23 THESE WILL BE AVAILABLE TO THE COMMUNITY. NOT EVERY
24 LABORATORY HAS ACCESS TO OR HAS THE CAPABILITY.
25 MODELS ARE ACTUALLY NOT THAT EASY TO DO IN MANY

BARRISTERS' REPORTING SERVICE

1 CASES. SO NOW THEY HAVE ONES FOR MYOCARDIAL
2 INFARCTION, STROKE, SPINAL CORD INJURY, AND
3 TRAUMATIC BRAIN INJURY.

4 DR. LANGSTON OF THE PARKINSON'S INSTITUTE
5 HAD AN AWARD WHERE, AGAIN, THE MODEL SYSTEM THERE
6 WAS HOW DO YOU EFFECTIVELY MODEL PARKINSON'S
7 DISEASE. HOW DO YOU DISCOVER DRUGS? AND THEY
8 DERIVED OVER 50 LINES FROM PATIENTS THAT ACTUALLY
9 HAD KNOWN CAUSATIVE MUTATIONS. THEY DEFINED SEVERAL
10 NEW PHENOTYPIC READOUTS, AND THIS HAS ACTUALLY LED
11 TO MULTIPLE NEW COLLABORATIONS, INCLUDING ONES WITH
12 INDUSTRY AND NEW FUNDING FROM BOTH PUBLIC AND
13 PRIVATE SOURCES.

14 DR. ALICE TARANTAL AT UC DAVIS HAS HAD A
15 LONG-TERM GOAL OF TREATING INHERITED PEDIATRIC
16 HEMATOLOGIC DISORDERS. AND SHE HAS DEVELOPED AN IN
17 UTERO PRECLINICAL MODEL. WHAT SHE WAS INTERESTED IN
18 DOING IS CAN I SHOW ENGRAFTMENT OF STEM CELLS AND
19 CAN I FOLLOW THEM? AND WHAT SHE'S DONE IS SHE'S
20 BEEN SUCCESSFUL IN DOING THIS WITH CORD BLOOD.
21 SHE'S BEEN ABLE TO MONITOR THEM. I WOULD POINT OUT
22 THAT THIS IS ACTUALLY THE REASON I WAS EXCITED THAT
23 THIS AS A START AS IT SEEMED TO ME A POSSIBLE WAY OF
24 INDUCING TOLERANCE TO A COMPARABLE CELL TYPE IF YOU
25 HAD THE APPROPRIATE THINGS. SO SHE HAS BEEN ABLE TO

BARRISTERS' REPORTING SERVICE

1 DO THAT.

2 THE OTHER FIVE PROJECTS IN THESE
3 BOTTLENECK AWARDS ESSENTIALLY WERE ADDRESSED TO
4 MITIGATING THE RISK OF PSC-DERIVED THERAPIES. WHAT
5 WARNER GREENE AT THE GLADSTONE INSTITUTE WAS
6 INTERESTED IN WAS ESSENTIALLY FACTORS THAT AFFECT
7 THE STABILITY OF PLURIPOTENT DERIVED CELL LINES,
8 PARTICULARLY IPSC DERIVED. HAVE YOU ALL HEARD OF
9 JUMPING GENES? YOU MAY HAVE. EVERYBODY HAS IN
10 THEIR GENOME RESIDUAL RETRO ELEMENTS THAT ACTUALLY
11 HAVE THE CAPABILITY OF MOVING AROUND. AND THE
12 QUESTION WAS IF DURING IPSC GENERATION, SINCE YOU'RE
13 REALLY TOTALLY REMODELING CHROMATIN, YOU'RE CHANGING
14 THE WHOLE ACTIVATION, DOES THIS CHANGE?

15 AND WHAT HE'S FOUND IS THAT HE HAS SHOWN
16 THAT REPROGRAMMING MAY BE ASSOCIATED WITH INCREASED
17 ENDOGENOUS RETRO-TRANSPOSITION. SO THIS IS
18 SOMETHING THAT PEOPLE JUST NEED TO BE AWARE OF.
19 THIS IS WHY CHARACTERIZATION OF IPSC LINES IS SO
20 IMPORTANT.

21 DR. XU AT THE SCRIPPS WAS INTERESTED IN
22 LOOKING AT IMPROVED METHODS OF GENERATING IPSC
23 CELLS. NOW, I HAVE TO REMIND YOU, I THINK YOU ALL
24 KNOW, THIS HAS BEEN A REMARKABLY FAST MOVING FIELD.
25 THERE HAVE BEEN LOTS OF WORK IN THIS.

BARRISTERS' REPORTING SERVICE

1 HE HAS DEVELOPED AND PUBLISHED AN IMPROVED
2 METHOD FOR EPISOMAL, WHICH IS NONINTEGRATIVE IPS
3 GENERATION. AND JUST TO REMIND YOU, THAT IS HOPED,
4 THOUGHT TO BE BETTER BECAUSE WHEN YOU HAVE
5 INTEGRATIVE, OBVIOUSLY YOU INTEGRATE IN SITES THAT
6 COULD CAUSE PROBLEMS.

7 HE HAS ALSO LOOKED AT CAN I MAKE THESE
8 DERIVATIVES OF THESE CELLS SAFER BY USING A SUICIDE
9 GENE STRATEGY TO USE FOR PSC PURGING EITHER IN
10 VITRO? AND HE HAS FOUND THAT, AT LEAST IN HIS
11 HANDS, IT'S NOT WORKED AS WELL.

12 BUT THE WORK THAT HE'S PROBABLY BEST KNOWN
13 FOR IS THE WORK THAT INITIATED THE DEBATE ON THE
14 IMMUNOGENICITY OF PLURIPOTENT-DERIVED CELLS COMPARED
15 TO ES CELLS. SO THAT HAS GENERATED A LOT OF WORK IN
16 THE FIELD, AND I THINK HE HAS NOW COMPLETED FURTHER
17 STUDIES, AND THAT MANUSCRIPT IS UNDER REVIEW.

18 DR. JEAN LORING, AGAIN AT THE SCRIPPS
19 INSTITUTE, IN COLLABORATION WITH A PARTNER PI,
20 DR. LASLETT, WHO IS AT MONASH UNIVERSITY IN THE
21 STATE OF VICTORIA. WHAT THEY WERE DOING IS CAN I
22 FIND MARKERS THAT ARE UNIQUE TO PLURIPOTENT STEM
23 CELLS SO THAT I CAN USE THOSE EITHER FOR DETECTION
24 AND MAYBE FOR PURGING CULTURES OF THOSE? AND THEY
25 GENERATED A NUMBER OF ANTIBODIES, AND SHE DID THE

BARRISTERS' REPORTING SERVICE

1 CHARACTERIZATION WORK AND CHARACTERIZED A LOT OF
2 THEM THAT SEEMED TO BE SPECIFIC FOR NOVEL LIVE
3 PLURIPOTENT STEM CELLS. THEY FOUND ONE THAT LOOKS
4 TO BE BETTER THAN THE COMMONLY AVAILABLE ANTIBODY
5 WITH THAT, AND THEY ARE PURSUING THAT.

6 MICHAEL WEST OF BIOTIME TOOK A DIFFERENT
7 STRATEGY. HE SAID I'M GOING TO ISOLATE -- I'M GOING
8 TO MOVE CELLS ALONG A DIFFERENTIATION PATHWAY, AND
9 THEN I'M GOING TO CLONALLY ISOLATE, SINGLE CELL
10 CLONALLY ISOLATE THOSE CELLS AND KEEP THEM THERE SO
11 THAT I HAVE A LINE THAT REPRESENTS DIFFERENT STAGES.
12 AND HE GENERATED OVER A HUNDRED LINES.

13 AND WHAT HE WANTED TO DO WAS SAY CAN I USE
14 THOSE LINES, ONE, I'D LIKE TO CHARACTERIZE THEM AND
15 CAN I SHOW THAT THEY ARE MORE EFFECTIVE OR THEY CAN
16 USED FOR THERAPY. AND SO THE THOUGHT THERE WOULD BE
17 THAT POSSIBLY THE RISK OF RESIDUAL PLURIPOTENT
18 CELLS, YOU WOULD EXPECT THE RISK OF PLURIPOTENT
19 CELLS TO BE LOWER. AND THEN ALSO CAN I USE THOSE
20 LINES TO GENERATE UNIQUE MARKERS WHICH CAN BE USED
21 FOR DETECTION, CHARACTERIZATION, AND AGAIN PURGING.

22 AND THAT IS WHAT HE HAS DONE. HE HAS
23 SHOWN THAT OUT OF SEVERAL CHONDROGENIC LINES, HE
24 FOUND ONE THAT ACTUALLY GAVE GOOD GENERATION OF
25 ARTICULAR CARTILAGE. YOU CAN'T MAKE JUST ANY

BARRISTERS' REPORTING SERVICE

1 CARTILAGE. YOU HAVE TO MAKE THAT ONE. AND THAT HAS
2 BEEN PUBLISHED, AGAIN SHARED WITH THE FIELD. AND HE
3 FOUND THAT HE COULD USE IT. HE HAS IDENTIFIED A
4 NUMBER OF MARKERS USING A PHAGE DISPLAY TECHNOLOGY
5 THAT HE'S PUBLISHED AND BOTH FILED A PATENT
6 APPLICATION ON. AND THAT WAS ACTUALLY WHOLLY
7 SUPPORTED BY CIRM.

8 DR. OLIVIA KELLY OF VIACYTE WAS REALLY
9 INTERESTED, HOW DO I DEVELOP ASSAYS THAT ARE
10 SENSITIVE ENOUGH TO DETECT RESIDUAL PLURIPOTENT
11 CELLS IN A DIFFERENTIATED PRODUCT? WHAT SHE'S DONE
12 IS SHE'S ACTUALLY DONE WORK TO DEVELOP BOTH IN VIVO
13 OR IN VITRO ASSAYS. AND THIS HAS BEEN WORK DONE
14 ALSO IN COLLABORATION WITH ED STANLEY OF MONASH
15 UNIVERSITY, AGAIN FUNDED BY THE CFP STATE OF
16 VICTORIA OF AUSTRALIA THAT HAS ALLOWED HER TO REALLY
17 DEVELOP ASSAYS OF SENSITIVITY AND SPECIFICITY. AND
18 THIS HAS BEEN PUBLISHED, AND THESE ASSAYS ARE
19 ACTUALLY CONTRIBUTING TOWARDS THE ONGOING CIRM
20 DISEASE TEAM AND NOW RECENTLY INITIATED STRATEGIC
21 PARTNERSHIP I PROJECT.

22 NOW I'D LIKE TO SHIFT GEARS A LITTLE BIT
23 AND TALK ABOUT THE ET I PROJECTS AND SOME OF THE ET
24 II PROJECTS THAT HAVE BEEN TARGETING EITHER PROOF OF
25 CONCEPT OR DEVELOPMENT CANDIDATE. THE OUTCOMES TO

BARRISTERS' REPORTING SERVICE

1 DATE, AGAIN, WERE JUST FINISHING UP WITH THE -- WE
2 FINISHED UP WITH THE ET I IN THE LAST YEAR AND ARE
3 FINISHING -- ACTUALLY ET II, MOST OF THOSE PROJECTS
4 ARE JUST AT THE START OF THEIR THIRD YEAR. SO TWO
5 HAVE BEEN AWARDED DT II FUNDING, ONE HAS BEEN
6 REVIEWED, RECOMMENDED, AND APPROVED BY THIS BOARD
7 FOR BRIDGE FUNDING, AND FIVE SUBMITTED ELIGIBLE
8 LETTERS OF INTENT FOR DISEASE TEAM III.

9 SO I'M GOING TO GO THROUGH SOME OF THESE,
10 ALL OF THE ET IS AND ESSENTIALLY BY THERAPEUTIC
11 AREA. AND SO I'M GOING TO START WITH THE EYE
12 DISEASE, AND YOU WILL RECALL THAT DR. KLASSEN OF
13 UCI, HE HAD AN EARLY TRANSLATION AWARD WHICH WAS FOR
14 ALLOGENEIC TISSUE-DERIVED RETINAL PROGENITOR CELLS
15 FOR THE TREATMENT OF RETINITIS PIGMENTOSA. HE
16 ACTUALLY SUBMITTED TO AND WAS AWARDED DT FUNDING.
17 SO YOU WILL HEAR MORE ABOUT THAT FROM DR. FEIGAL.

18 DR. FREIDLANDER AT THE SCRIPPS INSTITUTE
19 WAS STUDYING AUTOLOGOUS IPS-DERIVED RPE FOR DRY AMD,
20 A DC AWARD. WHAT HE WAS INTERESTED -- WHAT HE DID
21 WAS HE ACTUALLY SAID I WANT A BETTER METHOD FOR
22 DIFFERENTIATING THESE. WHAT HE DID WAS HE HAS
23 ACTUALLY REPLACED THREE OUT OF THE FOUR YAMANAKA
24 FACTORS WITH SMALL MOLECULES TO ESSENTIALLY GENERATE
25 A ONE-FACTOR DERIVATION PROTOCOL FOR IPSC. HE HAS

BARRISTERS' REPORTING SERVICE

1 DONE EXTENSIVE IN VITRO AND IN VIVO ANALYSIS TO
2 COMPARE THESE TO FOUR FACTOR GENERATED IPSC TO
3 NORMAL FETAL PROGENITORS, TISSUE STEM CELL
4 PROGENITORS, AND HAS SHOWN THAT IT MAY BE SUPERIOR
5 TO CLINICAL USE. AND HE HAS PUBLISHED THESE
6 FINDINGS. HE'S NOW CONDUCTING STUDIES OF THE
7 TECHNOLOGY WITH SKIN BIOPSIES FROM THE ACTUAL
8 PATIENT POPULATION THAT HE'S TARGETING FOR THERAPY.

9 DR. TRAVIS OF UCLA WAS INTERESTED ALSO IN
10 USING PSC-DERIVED RETINAL PIGMENTED EPITHELIUM. AND
11 HE WAS INTERESTED IN THE NOTION OF HOW DOES
12 INFLAMMATION PLAY A ROLE IN THE DEVELOPMENT OF THIS
13 DISEASE? SO WAS INTERESTED IN THE NEGATIVE
14 REGULATORS OF COMPLEMENT. HIS MAIN OUTCOME WAS HE
15 DEFINED -- HE COMPARED, AGAIN, PSC, ESC MULTIPLE
16 LINE AND HE DEFINED A MOLECULAR SIGNATURE FOR
17 EVALUATING THE FIDELITY OF THE CONVERSION TO RPE.
18 SO IF YOU ARE GOING TO MAKE SOMETHING, YOU HAVE TO
19 BE ABLE TO SAY AT STEPS ALONG THE WAY THAT I'M GOING
20 ALONG THE RIGHT PATH. AND STUDIES LIKE THIS HELP
21 DEFINE THAT YOU ARE GOING ALONG THE RIGHT PATH.

22 HE DEMONSTRATED THAT FUNCTIONAL RPE CELLS
23 CAN BE DERIVED FROM MULTIPLE LINES OF HESC AND
24 HIPSC. AND HE'S PUBLISHED THIS WORK. HE'S
25 CONTINUED OPTIMIZING HIS ESSENTIALLY RPE

BARRISTERS' REPORTING SERVICE

1 DIFFERENTIATION PROCESS.

2 SOPHIE DENG OF UCLA HAS A DCF AWARD, AND
3 WHAT SHE'S TRYING TO DO IS ESSENTIALLY BRING TO THE
4 UNITED STATES -- I THINK WE'VE ALL HEARD ABOUT THE
5 WORK THAT'S BEEN DONE IN ITALY WITH LIMBAL STEM CELL
6 ISOLATION. AND THE ISSUE IN THE UNITED STATES IS
7 THAT -- AND THERE'S ACTUALLY TEN YEARS OF DATA NOW
8 AT THIS POINT SUPPORTING THE FACT THAT YOU CAN TAKE
9 LIMBAL STEM CELLS AND YOU CAN ACTUALLY GET CORNEA.
10 WHAT HAPPENS IF YOU DON'T HAVE THAT? YOU HAVE A
11 BLURRY CORNEA. YOU CAN'T SEE OUT OF THE EYE. BUT
12 THE ISSUE IN THE STATES HAS BEEN THAT THE FDA HAS
13 WANTED A XENOBIOTIC-FREE CULTURE FOR EXPANSION. YOU
14 NEED TO BE ABLE TO EXPAND THESE CULTURES.

15 SO SHE HAS DEVELOPED XENOBIOTIC-FREE
16 CULTURE CONDITIONS FOR THE EFFECTIVE EXPANSION BASED
17 ON MARKERS AND CRITERIA THAT HAVE BEEN SHOWN TO BE
18 CLINICALLY RELEVANT. SHE'S NOW EXPLORING THE
19 FUNCTIONAL IN A RABBIT MODEL, BUT SHE ACTUALLY IS
20 VERY -- SHE BELIEVES SHE HAS OVERCOME WHAT WOULD BE
21 THE FDA'S ISSUES WITH THAT. SO WE'LL SEE.

22 OKAY. IN NEURODEGENERATIVE DISEASE, I'M
23 GOING TO TALK ABOUT PROGRAMS IN ALZHEIMER'S DISEASE,
24 HUNTINGTON'S DISEASE, AND PARKINSON'S DISEASE. OUR
25 PROGRAM IN ALZHEIMER'S DISEASE, AS YOU KNOW, LAFERLA

BARRISTERS' REPORTING SERVICE

1 AT UCI WAS WORKING WITH ALLOGENEIC ESC OR
2 TISSUE-DERIVED NSC, A DC AWARD. AND HIS WORK
3 CONTRIBUTED -- HE COLLABORATED WITH STEM CELLS,
4 INC. HIS WORK ACTUALLY CONTRIBUTED TO THE DISEASE
5 TEAM FUNDING THAT WAS AWARDED TO STEM CELLS, INC. AS
6 PART OF THEIR DISEASE TEAM II AWARD.

7 DR. JAN NOLTA AT UC DAVIS WAS WORKING WITH
8 ALLOGENEIC HMSC THAT HAD BEEN ENGINEERED EX-VIVO TO
9 DELIVER AN SIRNA TO SILENCE EXPRESSION OF MUTANT
10 HUNTINGTON MRNA FOR THE TREATMENT OF HUNTINGTON'S
11 DISEASE. THE REASON MSC'S ARE PERCEIVED AS
12 POTENTIAL CELL DELIVERY VEHICLES, THEY TEND TO
13 TARGET AREAS OF INFLAMMATION. AND SO THIS SEEMED TO
14 BE A STRATEGY THAT SHE THOUGHT -- THAT THEY THOUGHT,
15 THAT THAT TEAM THOUGHT COULD DO SOMETHING. ONE OF
16 THE KEY ISSUES IN THAT FIELD HAS BEEN HOW DO YOU
17 DELIVER, HOW DO YOU GET THIS INTO THE CELL?

18 AND WHAT SHE SHOWED WAS IN IN VITRO MODEL
19 SYSTEMS THAT THERE COULD BE REDUCTION OF THE MUTANT
20 HUNTINGTON PROTEIN IN THE RECIPIENT CELL POPULATION
21 DUE TO TRANSFER OF THE ANTI-HTT SIMRNA FROM THE MSC.
22 AND THEY'RE EXPLORING THE MECHANISM OF THIS. SHE'S
23 PUBLISHED THIS AND A PATENT APPLICATION HAS BEEN
24 FILED.

25 DR. LESLIE THOMPSON OF UC IRVINE IS

BARRISTERS' REPORTING SERVICE

1 LOOKING AT ALLOGENEIC HESC-DERIVED NSC. SHE WAS
2 EXPLORING THREE DIFFERENT PROGENITOR POPULATIONS,
3 THE NEURAL STEM CELL POPULATION, THE ASTROCYTE
4 PROGENITOR POPULATION, OR THE NEURAL PROGENITOR
5 POPULATION AS THE BEST SOURCES FOR HUNTINGTON'S
6 DISEASE. SHE HAS SELECTED NEURAL STEM CELLS, HAS
7 SUCCESSFULLY DIFFERENTIATED FROM A GMP-COMPATIBLE
8 HESC CELL LINE, HAS SHOWN NEUROLOGIC AND BEHAVIORAL
9 IMPROVEMENT IN A MOUSE MODEL OF HUNTINGTON'S
10 DISEASE. SHE'S DOING FULL CHARACTERIZATION OF THOSE
11 IN VIVO AND IN VITRO STUDIES AND IS CONDUCTING
12 DOSING STUDIES AT THIS TIME.

13 MR. SHEEHY: COULD I ASK A QUESTION ABOUT
14 THIS? SO DR. THOMPSON WAS AT OUR LAST MEETING
15 SEEKING ADDITIONAL FUNDING TO CONTINUE THIS WORK.
16 SHE DID NOT FARE WELL IN EARLY TRANSLATION III IN
17 ORDER TO CONTINUE THE WORK THAT WE'RE SAYING WAS
18 PROCEEDING SUCCESSFULLY.

19 DR. OLSON: NO. THAT WAS FOR A DIFFERENT
20 PROJECT.

21 MR. SHEEHY: THANK YOU. THAT HELPS.

22 DR. OLSON: SHE IS MAKING PROGRESS
23 OBVIOUSLY.

24 MR. TORRES: WHO ARE THE ITALIANS THAT YOU
25 REFERENCE? IS THAT MILAN, BOLOGNA, OR ROME?

BARRISTERS' REPORTING SERVICE

1 DR. OLSON: I'M EMBARRASSED TO SAY I WILL
2 HAVE TO GET THE NAME FOR YOU. IT'S NOT -- IF DR.
3 ABO WERE HERE, HE'D KNOW IT RIGHT OFF.

4 MR. TORRES: THANKS, PAT. DON'T WORRY
5 ABOUT IT.

6 DR. OLSON: HE'S SPOKEN AT ISSCR. I
7 SHOULD KNOW. GIVE ME A FEW MINUTES AND IT MAY COME
8 TO ME.

9 OKAY. IN PARKINSON'S DISEASE WE ARE IN ET
10 I AND II. WE ARE FUNDING THREE PROGRAMS. DR. EVAN
11 SNYDER AT THE SANFORD BURNHAM, HE IS PURSUING
12 ALLOGENEIC COMMITTED NEURAL PROGENITORS DERIVED FROM
13 ESC, IPSC, OR TISSUE. HE WAS LOOKING TO PICK THE
14 BEST OF THEM. HE WAS TESTING THEM IN A VERY
15 RELEVANT PRECLINICAL MODEL. AND BASED ON HIS
16 STUDIES TO DATE, HE STARTED LATE, BY THE WAY, HE HAS
17 SELECTED GENETICALLY MODIFIED HSC LINES BASED ON
18 COMPARATIVE STUDIES. HE HAS MADE A RESEARCH WORKING
19 BANK. HE IS DEVELOPING OPTIMAL CELL PREPARATION
20 STRATEGIES. HE HAS EXTENSIVE CHARACTERIZATION AND
21 HISTOLOGICAL DATA.

22 DR. SHAMIN ZENG OF THE BUCK INSTITUTE IS
23 PURSUING A STRATEGY WITH, AGAIN, ALLOGENEIC HUMAN
24 PLURIPOTENT STEM CELL-DERIVED DOPAMINERGIC NEURAL
25 PRECURSOR CELLS. THIS IS ALSO A DC AWARD. SHE ALSO

BARRISTERS' REPORTING SERVICE

1 WAS EXPLORING SEVERAL DIFFERENT LINES.

2 DR. PELEGRINI OF MILAN.

3 SHE EXPLORED SEVERAL DIFFERENT PLURIPOTENT
4 LINES AND SELECTED A LEAD AND A BACKUP LINE AS A
5 SOURCE FOR THESE NEURAL PRECURSOR CELLS. SHE HAS
6 MADE RESEARCH WORKING CELL BANKS AND DEVELOPED A
7 SCALABLE GMP-COMPATIBLE PROCESS AND SHOWED
8 COMPARABILITY BY VARIOUS IN VITRO ASSAYS AND IN VIVO
9 ASSAYS TO THAT DEVELOPED BY A RESEARCH SCALE
10 PROCESS.

11 DR. FRED GAGE OF THE SALK INSTITUTE IS ONE
12 OF THESE PEOPLE THAT'S INTERESTED IN THE
13 INTERSECTION OF NEURODEGENERATIVE DISEASE AND
14 INFLAMMATION. HE HAS A DCF AWARD WHERE HE'S LOOKING
15 AT PATIENT IPSC-DERIVED, A CO-CULTURE SYSTEM. HE
16 WANTS TO PUT NEURONS TOGETHER AND ASTROCYTES TO
17 IDENTIFY ANTI-INFLAMMATORY SMALL MOLECULES AGAINST A
18 TARGET THAT HE HAS VALIDATED AS BEING IMPORTANT IN
19 THIS.

20 SO HE'S LOOKING FOR NEUROPROTECTIVE
21 MARKERS, AND HE WANTS TO CORRELATE ACTIVITY IN THIS
22 ASSAY WITH PATIENT DATA FROM HIS PARTNER PI, JORGAN
23 WINKLER IN GERMANY WHO'S FUNDED BY THE BMBF. WHAT
24 THEY HAVE DONE IS THEY'VE RECEIVED NOW, THEY'VE
25 MADE -- THEY'VE RECEIVED FIBROBLASTS, I BELIEVE,

BARRISTERS' REPORTING SERVICE

1 FROM OVER TEN PATIENTS THAT ARE CLINICALLY WELL
2 CHARACTERIZED. SO THIS CAN BE VERY VALUABLE.
3 THEY'VE MADE IPSC LINES. THEY'VE GOT THE CO-CULTURE
4 SYSTEM UNDER DEVELOPMENT. THE ASTROCYTES ARE
5 PROVING TO BE A BIT OF A CHALLENGE. AND SO THEY'RE
6 WORKING ON OPTIMIZING ASTROCYTE DIFFERENTIATION, BUT
7 HE TELLS THE SCIENCE OFFICER THEY'RE VERY CLOSE.

8 DR. INDER VERMA OF THE SALK INSTITUTE, HE
9 HAS AN ET I AWARD THAT WAS TARGETING A BLOOD
10 DISORDER. PARTICULARLY HE WAS INTERESTED IN
11 AUTOLOGOUS IPSC HSC GENETICALLY CORRECTED EX VIVO BY
12 HOMOLOGOUS RECOMBINATION TO TREAT FANCONI'S ANEMIA
13 AND X-SCID. HE DEVELOPED IPS LINES FROM THESE
14 PATIENTS, GENERATED PRECLINICAL MOUSE MODELS FOR
15 TESTING THEM, WAS ABLE TO DO THE GENE CORRECTION IN
16 THE IPSC LINES, HOMOLOGOUS RECOMBINATION.

17 MANY OF YOU MAY KNOW DR. VERMA IS WELL
18 KNOWN FOR VECTOROLOGY MOLECULAR BIOLOGY. HE DID
19 DEVELOP AND DEMONSTRATE A ROBUST AND REPRODUCIBLE
20 METHOD FOR THE EFFICIENT GENERATION OF MULTIPOTENT
21 HEMATOPOIETIC PROGENITOR CELLS IN SHORT-TERM
22 ENGRAFTMENT STUDIES. AS YOU HEARD FROM DR. FEIGAL,
23 THE BIG DEAL IN THIS FIELD IS LONG-TERM ENGRAFTMENT.
24 SO THIS HAS JUST BEEN A MAJOR CHALLENGE. SO HE HAS
25 THE MODELS AND THE LINES, AND HE'S NOW CURRENTLY --

BARRISTERS' REPORTING SERVICE

1 HE'S PUBLISHED THIS. HE'S USING THE TECHNOLOGY HE'S
2 DEVELOPED FOR HOMOLOGOUS RECOMBINATION IN OTHER
3 PROJECTS, INCLUDING AN ET III.

4 WITHIN THE BONE DISORDERS, DR. LONGAKER
5 AND CO-PI HELMS OF STANFORD UNIVERSITY ARE PURSUING
6 DEVELOPMENT OF A STABLE FORMULATION OF WNT3A FOR EX
7 VIVO USE IN COMBINATION WITH BONE MARROW ASPIRATE
8 FOR AUTOLOGOUS BONE REPAIR. THEY DEVELOPED A CELL
9 LINE, METHODS, AND ASSAYS FOR RESEARCH PRODUCTION
10 AND PURIFICATION, DEMONSTRATED THAT TREATMENT WAS
11 STABLE, PRODUCT WAS SUFFICIENT TO STIMULATE
12 OSTEOGENIC GENE EXPRESSION, AND TO GENERATE
13 SIGNIFICANTLY MORE BONE IN FOUR PRECLINICAL
14 MODELS AND COMPARED TO AVAILABLE TREATMENT OPTIONS,
15 AND WAS NOT ASSOCIATED WITH ANY ADVERSE REACTIONS.
16 PATENT APPLICATIONS HAVE BEEN FILED AND THIS WORK
17 HAS BEEN PUBLISHED. IT WAS ALSO REVIEWED AND
18 RECOMMENDED AND APPROVED FOR BRIDGING FUNDING.

19 DR. BRUNO PEULT AND DR. SHIA SOO FROM
20 UCLA WERE PURSUING A STRATEGY FOR AUTOLOGOUS ADULT
21 PERIVASCULAR STEM CELLS, WHICH IS AN MSC-TYPE CELL,
22 IN COMBINATION WITH AN OSTEOINDUCTIVE PROTEIN ON AN
23 FDA APPROVED ACELLULAR SCAFFOLD FOR BONE REPAIR.
24 THEY'VE SHOWED IN PRECLINICAL MODELS IMPROVED
25 CAPACITY FOR HIGH QUALITY BONE FORMATION OVER

BARRISTERS' REPORTING SERVICE

1 CONTROLS, DEVELOPED PROCESSES FOR THE REPRODUCIBLE
2 ISOLATION OF THE PSC OF THE ADULT PERIVASCULAR STEM
3 CELLS, AND A CELL LINE AND PROCESS FOR SCALABLE
4 GMP-COMPATIBLE ISOLATION OF THE OSTEOINDUCTIVE
5 PROGRAM.

6 DR. DAN GAZIT OF CEDARS-SINAI, WHO DR. ARI
7 ABO AND I WILL BE MEETING WITH TOMORROW, ARE
8 PURSUING AN ALLOGENEIC MSC STRATEGY PLUS OR MINUS
9 PARATHYROID HORMONE FOR BONE REPAIR TO TREAT
10 OSTEOPOROSIS-RELATED VERTEBRAL COMPRESSION
11 FRACTURES. THIS WAS A DCF AWARD. THEY'VE DEVELOPED
12 MODELS AND SYSTEMS TO MONITOR THE HOMING. PTH IS
13 BELIEVED TO IMPROVE THE HOMING. AND THEY'VE SHOWED
14 ENHANCED HOMING AND FRACTURE REPAIR COMPARED TO
15 CONTROLS.

16 CARTILAGE DISORDERS, THE CHALLENGE HERE IS
17 ACTUALLY YOU CAN MAKE CARTILAGE, BUT YOU HAVE TO
18 MAKE THE RIGHT KIND OF CARTILAGE. YOU CAN'T MAKE
19 FIBROUS CARTILAGE. YOU HAVE TO MAKE ARTICULAR
20 CARTILAGE. AND SO THIS IS SOMETHING THAT DR. D'LIMA
21 OF SCRIPPS RESEARCH INSTITUTION HAS BEEN INTERESTED
22 IN. AND HIS TARGET THERAPY IS A CHONDROCYTE
23 PROGENITOR EMBEDDED IN A SCAFFOLD AND PLANTED INTO A
24 DEFECT OR A JOINT TO ESSENTIALLY DELAY KNEE
25 REPLACEMENT AS MUCH AS POSSIBLE. HE OPTIMIZED THE

BARRISTERS' REPORTING SERVICE

1 DIFFERENTIATION CONDITIONS, DEVELOPED
2 CHARACTERIZATION, DEVELOPED AN OPTIMIZED
3 CHARACTERIZATION ASSAY, AND EXPLORED A LOT OF
4 SCAFFOLD COMPONENTS TO GET THE BEST CHONDROGENIC
5 POTENTIAL AND IMPROVED TISSUE QUALITY. HE SELECTED
6 AN ESC CELL LINE SOURCE BASED ON HISTOLOGICAL
7 CRITERIA AND FUNCTION IN IN VIVO AND IN VITRO
8 MODELS, AND HAS CONDUCTED A PILOT SAFETY ASSESSMENT.

9 A VERY DIFFERING APPROACH IS BEING PURSUED
10 BY DR. PETER SCHULTZ OF THE SCRIPPS INSTITUTE. HE
11 HAD FOUND IN A SCREEN THAT A SMALL MOLECULE COMPOUND
12 PRO1 HAD SOME INDUCED CHONDROCYTE DIFFERENTIATION OF
13 RESIDENT HMSC. AND SO IT DIDN'T HAVE SOME OF THE
14 CHARACTERISTICS THAT YOU WOULD WANT FOR A SMALL
15 MOLECULE IF YOU ARE GOING TO USE IT FOR TREATMENT OF
16 OSTEOPOROSIS. SO WHAT HE IS DOING IS HE'S DOING THE
17 MEDICINAL CHEMISTRY AROUND THAT, AND HE'S DEVELOPED
18 THE ASSAYS, PERFORMED THE STRUCTURE ACTIVITY
19 RELATIONSHIPS STUDIES. HE'S MADE SEVERAL HUNDRED
20 PRO1 ANALOGS, IDENTIFIED MOLECULES WITH IMPROVED
21 ACTIVITY IN CELL CULTURE AND IN RELEVANT MODELS, AND
22 IS SYNTHESIZING A FINAL SERIES OF MOLECULES BASED ON
23 THAT PROFILE WITH RESPECT TO ACTIVITY, PK, AND
24 SAFETY PRIOR TO ACTUAL CANDIDATE SELECTION.

25 SO WHAT I'VE DONE IS I'VE TOLD YOU ABOUT

BARRISTERS' REPORTING SERVICE

1 THE 16 ET I PROJECTS AND EIGHT OF THE 20 ET II
2 PROJECTS. WHAT I'D LIKE TO DO IN FUTURE MEETINGS IS
3 UPDATE YOU ON THE OTHERS OF THOSE AS FAR AS OTHERS.
4 SO I'LL LOOK FORWARD TO TALKING TO YOU.

5 CHAIRMAN THOMAS: THANK YOU, DR. OLSON. A
6 TIME OUT FOR THE LONG SUFFERING REPORTER. WE'LL
7 RECONVENE IN ABOUT FIVE MINUTES.

8 (A BREAK WAS THEN TAKEN.)

9 CHAIRMAN THOMAS: MEMBERS OF THE BOARD,
10 COULD YOU PLEASE TAKE YOUR SEATS. I THINK WE'RE
11 READY TO RESUME. EVERYBODY PLEASE TAKE YOUR SEATS.

12 DR. OLSON: WHILE PEOPLE ARE TAKING THEIR
13 SEATS, DR. ZENG XU MAY BE SURPRISED TO HEAR THAT HIS
14 AFFILIATION HAS CHANGED. SO I'VE HAD TWO PERSONS AT
15 LEAST COME UP TO ME, AND I JUST WANT TO MAKE THE
16 CORRECTION. DR. ZENG XU, TR 1-01277, IS ACTUALLY AT
17 UCSD AND NOT AT THE SCRIPPS.

18 CHAIRMAN THOMAS: THANK YOU, DR. OLSON.
19 JOAN, YOU HAD A QUESTION FOR DR. OLSON?

20 MS. SAMUELSON: I THINK IT'S A SERIES OF
21 QUESTIONS, AND I DON'T THINK THERE'S TIME NOW TO GET
22 TO THE BOTTOM OF THEM, IF IT'S EVEN POSSIBLE. LET
23 ME JUST MAKE A COMMENT.

24 I TAKE IT THAT THIS IS THE BODY OF WORK
25 THAT PROP 71 FUNDS WERE SET ASIDE TO DEVELOP AND

BARRISTERS' REPORTING SERVICE

1 THIS IS IT. IS THAT RIGHT? AND WE'RE WATCHING.
2 I'M TRYING TO UNDERSTAND IS THIS EVOLVING OR IS
3 IT --

4 DR. OLSON: NO.

5 MS. SAMUELSON: -- A DIFFERENT SLIDE OF A
6 MUCH LARGER BODY OF WORK?

7 DR. OLSON: NO. WHAT I WAS TRYING TO DO
8 IN AT LEAST MY DISCUSSION WAS FOCUS ON ONLY THE
9 EARLY TRANSLATIONAL I PROJECTS AND SOME THAT WERE IN
10 THE SAME THERAPEUTIC AREAS FOR THE EARLY
11 TRANSLATIONAL II AWARDS.

12 THERE ARE OBVIOUSLY OTHER PROJECTS IN BOTH
13 ET II THAT I DID NOT DISCUSS, NOR IN ET III. AND
14 THAT'S SIMPLY BECAUSE I WANTED TO ALLOW DR. FEIGAL
15 TO HAVE A CHANCE TO TALK ABOUT THE DISEASE TEAM AND
16 STRATEGIC PARTNERSHIP PROGRAMS, PLUS, YOU KNOW, SOME
17 OF THE PROGRAMS ARE NOT WELL ENOUGH ALONG TO DISCUSS
18 ANY KIND OF OUTCOME. I THINK WE PROVIDE THIS BOARD
19 AT EVERY REVIEW WHERE WE MAKE FUNDING DECISIONS ON A
20 TRANSLATIONAL PORTFOLIO PROGRAM THE LIST OF ALL THE
21 PROJECTS AND THE APPROACHES IN A SPECIFIC DISEASE
22 AREA. I'M ALSO HAPPY TO ANSWER ANY QUESTIONS
23 INDIVIDUALLY.

24 MS. SAMUELSON: WELL, YOU NAMED THREE IN
25 PARKINSON'S. DOES THAT MEAN THERE'S MORE ET GRANT

BARRISTERS' REPORTING SERVICE

1 FUNDED PARKINSON'S-SPECIFIC OR RELATED WORK?

2 DR. OLSON: I THINK SO, BUT MY MIND IS A
3 LITTLE BIT --

4 MS. SAMUELSON: I DON'T MEAN TO PUT YOU ON
5 THE SPOT. I'M JUST TRYING TO UNDERSTAND WHERE AND
6 WHEN DOES THE BOARD ENGAGE IN THIS CONVERSATION
7 BECAUSE WE HAVE A FIDUCIARY DUTY TO BE INVOLVED, AND
8 IT'S HARD TO KNOW. BUT PUT ASIDE MY EDITORIAL
9 COMMENT. MY ORIGINAL QUESTION, ARE THERE MORE
10 ET-FUNDED PARKINSON'S PROJECTS?

11 DR. OLSON: IF YOU WAIT ONE MINUTE, I WILL
12 VERIFY THAT FOR YOU.

13 DR. FEIGAL: JOAN, CAN I JUST MAKE A
14 COMMENT?

15 MS. SAMUELSON: YEAH.

16 DR. FEIGAL: THERE'S GOING TO BE AN
17 OPPORTUNITY FOR A LONGER DISCUSSION IN DECEMBER AT
18 THE BOARD WORKSHOP. WHAT WE WERE TRYING TO DO HERE
19 IS GIVE YOU A PROGRESS UPDATE ON THOSE PROGRAMS THAT
20 ARE MATURE ENOUGH TO HAVE SOME OUTCOMES. SO WHAT
21 DR. OLSON DID, WE HAVE FOUR ITERATIONS ACTUALLY OF
22 EARLY TRANSLATION PROGRAMS. WE'VE A TOTAL OF ABOUT
23 70 DIFFERENT PROGRAMS, ABOUT 50 IN EARLY
24 TRANSLATION, ABOUT 21 IN THE DEVELOPMENT, WHICH IS
25 FARTHER DOWN THE DEVELOPMENT PATHWAY. AND SO JUST

BARRISTERS' REPORTING SERVICE

1 FOR REASONS OF TIME, THERE REALLY ISN'T A CHANCE FOR
2 HER TO GO INDIVIDUALLY THROUGH EACH OF 50 DIFFERENT
3 PROJECTS. SO WHAT SHE TRIED TO DO IS GIVE YOU AN
4 UPDATE ON THOSE THAT ARE MATURE ENOUGH TO HAVE SOME
5 OUTCOMES OF INTEREST. AND THEN WHAT WE CAN DO IS
6 SEND YOU AN UPDATED PORTFOLIO OF ALL THE TABLES THAT
7 LIST THE PROJECTS AND PERHAPS WHEN THEY WERE FUNDED
8 SO YOU CAN SEE WHEN THEY STARTED AND WHEN WE MIGHT
9 EXPECT SOME RESULTS. I DON'T KNOW IF THAT ANSWERS
10 YOUR QUESTION, BUT I TRIED.

11 MS. SAMUELSON: I'M NOT SURE EITHER.
12 OKAY. THANKS. OKAY.

13 CHAIRMAN THOMAS: OKAY. THANK YOU. ANY
14 OTHER QUESTIONS OF DR. OLSON? THANK YOU VERY MUCH,
15 PAT. THAT WAS VERY INFORMATIVE. THANK YOU.

16 NOW WE GO TO DR. FEIGAL FOR DISCUSSION ON
17 DISEASE TEAMS AND THE STRATEGIC PARTNERSHIPS.

18 DR. FEIGAL: NOW WHAT WE'RE GOING TO TALK
19 ABOUT ARE THOSE PROJECTS FOR GETTING BEYOND THE
20 CURING MICE AND WORKING IN THE LABORATORY AND TRYING
21 TO GET AT THOSE ISSUES THAT THE CITIZENS OF
22 CALIFORNIA ASKED FOR WHEN THEY WERE THINKING ABOUT
23 THIS INSTITUTE AND HOW DO WE ADVANCE THIS SCIENCE
24 INTO PATIENTS WITH CHRONIC DISEASE AND INJURY
25 BECAUSE OF THE PROMISE OF THIS TYPE OF TECHNOLOGY,

BARRISTERS' REPORTING SERVICE

1 AND IT'S ACTUALLY A VERY BROAD TECHNOLOGY.

2 IT STARTED WITH HUMAN INDUCED -- HUMAN
3 EMBRYONIC STEM CELL-DERIVED PROGRAMS, BUT HAS
4 EXTENDED AS THE SCIENCE HAS MATURED AND EVOLVED TO A
5 BROAD PLATFORM OF OTHER TYPES OF PLURIPOTENT STEM
6 CELLS, OTHER TYPES OF ADULT STEM CELL TISSUES, IN
7 ADDITION TO THINKING ABOUT THOSE MECHANISMS OF
8 ACTION WHERE STEM CELL TECHNOLOGY CAN HELP REPAIR,
9 REGENERATE, OR REPLACE DISEASED OR DAMAGED TISSUE.

10 IN ADDITION, THERE'S A COMPONENT OF WHAT
11 WE DO THAT'S RELEVANT FOR STEM CELLS IN THE CANCER
12 STEM CELL SPACE. AND SOMETIMES WE LOOK AT PROJECTS
13 AND WE REALLY NEED TO MAKE VERY, VERY SURE THAT
14 THERE'S A CLEAR CONNECTION TO THE CANCER STEM CELL
15 WITH SEVERAL OF THESE APPROACHES BECAUSE THE
16 APPROACHES ON THE CANCER STEM CELLS ARE REALLY
17 GETTING AT HOPEFULLY THE ACHILLES HEEL OF CANCER AND
18 COULD REPRESENT A NEW WAY OF APPROACHING TREATMENT.

19 SO WHAT I'D LIKE TO DO IN THIS TAG TEAM
20 PRESENTATION IS TALK ABOUT THOSE 21 DIFFERENT
21 PROGRAMS THAT ARE FURTHER DOWN THE DEVELOPMENT
22 PATHWAY TO GO INTO PATIENTS. SO THE TWO INITIATIVES
23 THAT WE HAVE IN PLACE, ONE WAS STARTED IN 2010 AND
24 THE OTHER WASN'T STARTED TILL 2012, ARE THE DISEASE
25 TEAM PROGRAMS AND THE STRATEGIC PARTNERSHIP PROGRAM.

BARRISTERS' REPORTING SERVICE

1 SO WE'RE ONLY THREE YEARS INTO THE INITIAL FUNDING
2 OF SOME OF THESE DEVELOPMENT TEAMS.

3 AND HERE WHAT WE'RE REALLY TRYING TO DO IS
4 MAKE SURE THAT WE'VE ENABLED THE PRECLINICAL
5 DEVELOPMENT TO MOVE THESE PROGRAMS AND PROJECTS DOWN
6 INTO CLINICAL TRIALS FOR PATIENTS. AND THIS IS AN
7 AREA THAT'S OFTEN UNDERFUNDED. IT'S WHAT'S CALLED
8 THE VALLEY OF DEATH OR WHAT WE SOMETIMES CALL THE
9 BRIDGE TO CURES WHERE THERE'S REALLY NOT SUFFICIENT
10 FUNDING TO WORK ON THESE TYPES OF PROGRAMS. AND SO
11 CIRM IS REALLY, IN ADDITION TO FILLING THE NICHE OF
12 FOCUSING ON A STEM CELL TECHNOLOGY, REALLY FOCUSING
13 ON A REAL GAP IN WHAT OTHER PEOPLE FUND. WHAT WE'RE
14 TRYING TO DO IS THE HIGH RISK TYPE OF RESEARCH THAT
15 PROVIDES THE EVIDENCE TO MAKE THESE PROGRAMS AND
16 PROJECTS ATTRACTIVE TO INVESTORS OR COMPANIES SO
17 THAT THEY DO HAVE THE POTENTIAL TO MOVE FORWARD.

18 SO THESE INITIATIVES REALLY FOCUSED ON
19 FILING AN IND SO THAT THESE PROGRAMS CAN ENTER THE
20 FIRST-IN-HUMAN CLINICAL TRIALS OR TAKING THEM ALL
21 THE WAY THROUGH EARLY PHASE CLINICAL TRIALS.

22 SO HERE WHAT WE REALLY WANT TO DO WITH
23 COMPLETION OF A CLINICAL TRIAL IS REALLY FOCUSING ON
24 THOSE THINGS WHERE THEY CAN ESTABLISH A FEASIBLE
25 DOSE, A DELIVERY THAT'S SAFE, WITH SOME EVIDENCE OF

BARRISTERS' REPORTING SERVICE

1 BIOLOGIC ACTIVITY, AND/OR SOME CLINICAL PARAMETERS
2 OF EFFICACY FOR THE PATIENT.

3 THIS IS JUST A SNAPSHOT OF WHERE WE ARE AT
4 THIS POINT IN TIME WITH ALL OF OUR TRANSLATIONAL
5 PROGRAMS. SO IF YOU LOOK AT THE YEAR IN WHICH,
6 LET'S SAY, THE EARLY TRANSLATION PROGRAMS, WHICH DR.
7 OLSON JUST WENT THROUGH, WE HAD EIGHT IN THE COHORT
8 OF 2009, 21 IN THE COHORT OF 2011, AND 21 IN THE
9 YEAR 2012, AND THEN WE HAVE ANOTHER COHORT OF
10 APPROXIMATELY 13 THAT WAS JUST RECENTLY FUNDED. SO
11 OF THOSE EARLY COHORTS, '09 AND REALLY '11 IS STILL
12 PRETTY EARLY BECAUSE THESE ARE THREE-YEAR AWARDS,
13 ONE OF THEM FROM 2009 WAS AWARDED A DISEASE TEAM TO
14 ADVANCE TOWARDS AND INTO THE CLINIC. AND TWO OF
15 THEM FROM THAT SAME COHORT PUT IN LETTERS OF INTENT
16 AND APPLICATIONS FOR THE DISEASE TEAM III COHORT.
17 SO THERE IS SOME EVIDENCE OF ADVANCING THESE
18 PROGRAMS FURTHER ALONG THE MATURATION PATHWAY.

19 AND FROM 2011 SO FAR, AND THESE ARE
20 THREE-YEAR AWARDS, ONE OF THOSE 21 WAS AWARDED A
21 DISEASE TEAM AWARD. SO THEY WERE ABLE TO
22 SUCCESSFULLY COMPLETE THEIR ET COMPONENT AND THEN
23 MOVE ON BECAUSE WHAT WE'RE TRYING TO DO IS HAVE A
24 SEAMLESS PATHWAY. WE DON'T WANT THERE TO BE GAPS IN
25 FUNDING. SO WE'RE TRYING TO TIME OUR INITIATIVES SO

BARRISTERS' REPORTING SERVICE

1 THAT THERE'S ACTUALLY A PATHWAY WHERE THEY CAN GET
2 FUNDING.

3 IN 2012 IT'S MUCH TOO EARLY TO SEE RESULTS
4 THERE. AND THEN WITH OUR DEVELOPMENT TEAMS, AND
5 THESE ARE THE DISEASE TEAMS AND THE STRATEGIC
6 PARTNERSHIPS, THEY'RE ADVANCING THROUGH THEIR
7 PRE-IND FDA MEETINGS AND THEY'RE ENTERING CLINICAL
8 TRIALS. SO IN THE FIRST COHORT THAT'S ONLY THREE
9 YEARS DOWN THE ROAD FROM THEIR FUNDING IN 2010, OVER
10 50 PERCENT OF THOSE TEAMS HAVE HAD SUCCESSFUL
11 INTERACTIONS WITH THE FDA. AND THAT'S THE AGENCY
12 THAT REVIEWS AND APPROVES WHETHER OR NOT THESE
13 PRODUCTS CAN GET INTO HUMAN AND ULTIMATELY WHETHER
14 OR NOT THIS CAN GET INTO THE MARKETPLACE.

15 OVER 50 PERCENT OF THAT FIRST COHORT IS
16 SUCCESSFULLY TRACKING ALONG. TWO OF THOSE HAVE
17 ALREADY FILED IND'S IN 2012, AND SIX ARE EXPECTED TO
18 FILE IND'S IN 2013 AND 14. AND TWO OF THAT FIRST
19 COHORT ARE IN CLINICAL TRIALS THIS YEAR. IT'S TOO
20 EARLY FOR THE SUBSEQUENT COHORTS. THEY'RE ONLY IN
21 THEIR VERY FIRST YEAR OF FUNDING, BUT I WILL MENTION
22 THEM SO THAT YOU GET A SENSE OF WHERE WE ARE IN THE
23 PORTFOLIO EVEN THOUGH IT'S TOO EARLY TO REALLY SEE
24 OUTCOMES FROM THEM.

25 CHAIRMAN THOMAS: ELLEN, ON THAT SLIDE,

BARRISTERS' REPORTING SERVICE

1 DOES THAT MEAN, GIVEN THE NUMBER WE EXPECT TO GET
2 IND'S NEXT YEAR, ALSO THAT WE WILL HAVE EIGHT IN
3 CLINICAL TRIALS BY THE END OF CALENDAR '14?

4 DR. FEIGAL: NO. WHAT WE SAID IN OUR
5 STRATEGIC PLAN IS THAT WE EXPECT TO HAVE THREE TO
6 FIVE IN CLINICAL TRIALS. SO SOME OF THESE MIGHT BE
7 AN OVERLAP OR MIGHT HAVE OTHER SOURCES OF FUNDING.
8 IT'S POSSIBLE THAT IF ALL OF THEM SUCCESSFULLY GO
9 THROUGH, THAT'S A POSSIBILITY. BUT AT THIS POINT IN
10 TIME, FOR OUR GOAL WE SAID WE EXPECT BETWEEN THREE
11 AND FIVE, BUT IT'S POSSIBLE IT COULD BE MORE. IT'S
12 ONE THING TO SUCCESSFULLY GET THROUGH THE REGULATORY
13 NAVIGATION PATHWAY. SCIENCE EVOLVES AND CHANGES,
14 AND IT'S A SECOND QUESTION AS TO WHETHER OR NOT WHAT
15 WAS A GREAT IDEA IN 2010 IS STILL A GREAT IDEA IN
16 2014. SO EVEN THOUGH IT MAY HAVE SUCCESSFUL
17 PASSAGE, IT IS A QUESTION WHETHER OR NOT IT'S STILL
18 SOMETHING THAT WE WANT TO CONTINUE TO INVEST IN.
19 BUT THEY'RE CERTAINLY PROCEEDING DOWN THE PATHWAY
20 AND BEING SUCCESSFUL THERE.

21 FROM THE DISEASE TEAM I, THOSE ARE 14
22 PROJECTS IN THAT FIRST COHORT, AND THEY WERE FUNDED,
23 THAT FIRST COHORT, WITH A GOAL OF FILING AN
24 APPROVABLE IND BY THE END OF THE PROJECT PERIOD IN
25 2014. TWO OF THEM HAVE ALREADY FILED THE IND'S.

BARRISTERS' REPORTING SERVICE

1 THEY'RE CONDUCTING CIRM-FUNDED CLINICAL TRIALS IN
2 2013. ONE IS IN HIV. THAT'S THE CAL-IMMUNE
3 CLINICAL TRIAL THAT JEFF SHEEHY ALLUDED TO FROM HIS
4 HIV CURE WORKSHOP WHERE HE HAD LOUIS BRETON AS PART
5 OF THE PANEL. HE'S THE CEO OF THAT COMPANY
6 PRESENTING THEIR WORK. AND THEN WE ALSO AWARDED A
7 DT II TO A COMPANY THAT'S MOVING ON THEIR DT I
8 PROJECT AND SUCCESSFULLY APPLIED AND RECEIVED DT II
9 FUNDING. AND THAT PROJECT IS IN THE CLINICAL TRIAL
10 RIGHT NOW FOR PATIENTS WITH A RECENT HEART ATTACK
11 AND EVIDENCE OF CONGESTIVE HEART FAILURE.

12 OVER HALF THE DT I PROJECTS HAVE
13 SUCCESSFULLY ADVANCED THROUGH THEIR PRE-IND MEETING
14 WITH THE FDA. ONE WAS APPROVED FOR STRATEGIC
15 PARTNERSHIP FUNDING, AND THAT'S THE VIACYTE AWARD
16 THAT SUCCESSFULLY IS MOVING THROUGH EARLY
17 TRANSLATION DISEASE TEAM I AND NOW A STRATEGIC
18 PARTNERSHIP I. ONE RECEIVED SUPPLEMENTAL EXTERNAL
19 FUNDING. TWO WERE RECOMMENDED AND APPROVED FOR A
20 CIRM MAJOR SUPPLEMENT FUNDING TO THE TUNE OF \$3
21 MILLION EACH. AND SIX SUBMITTED ELIGIBLE LETTERS OF
22 INTENT FOR THE DISEASE TEAM III RECENT SOLICITATION.

23 THE DISEASE TEAMS II AND THE STRATEGIC
24 PARTNERSHIPS ARE JUST NOW GETTING STARTED, AND
25 THEY'RE IN THEIR FIRST YEAR OF AWARD.

BARRISTERS' REPORTING SERVICE

1 I'M GOING TO GO THROUGH SOME OF THE
2 CONTENT OF WHAT'S BEING DONE IN THESE DIFFERENT
3 PROJECTS. BY THE NATURE OF THESE AWARDS, THERE'S A
4 LOT OF PROPRIETARY AND CONFIDENTIAL INFORMATION. IF
5 THERE'S INTEREST IN DIVING FURTHER, SOME OF THIS
6 WILL HAVE TO BE DONE IN CONFIDENTIAL SESSION. WHAT
7 I TRIED TO DO HERE IS WHAT COULD BE PUBLICLY
8 AVAILABLE.

9 SO I CAPTURED THIS BY DISEASE AREA. AND,
10 JOAN, JUST FOR YOUR QUESTION, THIS DOES CAPTURE ALL
11 OF THE DISEASE TEAMS AND EITHER COHORTS ONE OR TWO
12 OF DISEASE TEAM AND COHORTS ONE AND TWO OF STRATEGIC
13 PARTNERSHIP. SO THIS IS THE PORTFOLIO OF THE
14 DEVELOPMENT TEAMS.

15 SO THE FIRST ONE IS THE MARBAN TEAM THAT
16 WAS AT CEDARS-SINAI.

17 MS. SAMUELSON: CAN YOU, THEN, WITH EACH
18 ONE YOU'RE DESCRIBING, NOTE THE DISEASE AREA? AND I
19 GUESS THAT'S IT. YEAH. BECAUSE YOU SAID THIS IS
20 THE TOTAL PART OF OUR PORTFOLIO.

21 DR. FEIGAL: AT THIS POINT IN TIME. SO WE
22 JUST HAD A REVIEW FOR THE THIRD COHORT OF DISEASE
23 TEAMS, AND WE WILL HAVE A REVIEW IN FEBRUARY OF THE
24 THIRD COHORT OF STRATEGIC PARTNERSHIPS.

25 MS. SAMUELSON: BUT THERE MAY BE SOME

BARRISTERS' REPORTING SERVICE

1 ADDED TO THE DISEASE TEAM PORTFOLIO, FOR EXAMPLE, AS
2 SOMETHING ELSE ADVANCES, BUT IT'S JUST GOING TO BE
3 THOSE THAT ARE IN THE PIPELINE AT THIS POINT PRETTY
4 MUCH, RIGHT, GIVEN THE RAPIDITY OF OUR SPENDING AND
5 THE TIME FRAME WE'RE LOOKING AT?

6 DR. FEIGAL: IT DEPENDS ON THE MATURATION
7 AT WHICH THEY ENTER. SO WE HAVE THINGS IN OUR
8 ENDOGENOUS PIPELINE, AND THEN WE HAVE THINGS THAT
9 CAN ENTER EXTERNALLY THAT MAY NOT HAVE BEEN NURTURED
10 ALONG THE WAY BY CIRM. SO IT STILL DOES DEPEND ON
11 THE MATURATION OF WHAT MIGHT COME IN.

12 MS. SAMUELSON: FOR THOSE THAT FELL OUT
13 EARLIER ARE NOT GOING TO BE ABLE TO BE
14 REESTABLISHED, RIGHT?

15 DR. FEIGAL: IF THOSE WHO FELL OUT
16 EARLIER, THERE WAS ONE THAT WAS TERMINATED, TWO THAT
17 WENT BACK TO AN EARLY TRANSLATION AWARD. IT DEPENDS
18 ON HOW THEY DO WITH THEIR EARLY TRANSLATION AWARD IN
19 TERMS OF THE TYPE OF PROGRESS THEY'RE MAKING WHETHER
20 OR NOT THEY CAN REENTER AT A SUBSEQUENT TIME POINT.

21 MS. SAMUELSON: AND IS IT IMPOSSIBLE TO
22 EITHER NAME THE GRANTEE OR GIVE THE DISEASE AREA
23 BECAUSE IT'S JUST TOO SCATTERED A SUMMARY FOR US TO
24 BE ABLE TO TRACK, IT SEEMS TO ME. WE HAVE TO KNOW
25 WHAT YOU'RE TALKING ABOUT.

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: YES. WHAT I DID IN THE
2 HANDOUT THAT YOU HAVE, WHICH IS IN THE CONTEXT OF A
3 SLIDE DECK, BUT BASICALLY I GIVE THE PRINCIPAL
4 INVESTIGATOR'S NAME, THE INSTITUTION, AND THE
5 THERAPEUTIC AREA, AS WELL AS THEIR THERAPEUTIC
6 APPROACH. SO THAT IS IN YOUR SLIDE DECK, BUT I'D BE
7 HAPPY TO TALK WITH YOU SEPARATELY TO GO OVER IT.

8 MS. SAMUELSON: I THINK WE SHOULD HAVE
9 THAT IN FRONT OF US WHEN YOU'RE TALKING.

10 DR. FEIGAL: IT WAS SENT TO YOU.

11 MS. SAMUELSON: I BELIEVE YOU.

12 CHAIRMAN THOMAS: ELLEN, WHY DON'T YOU
13 PROCEED, PLEASE.

14 DR. FEIGAL: SO THIS DISEASE TEAM I TEAM,
15 THIS IS THE MARBAN TEAM AT CEDARS-SINAI THAT'S
16 WORKING WITH THE THERAPEUTIC APPROACH OF ALLOGENEIC
17 CARDIAC-DERIVED STEM CELLS. AND THEY COMPLETED
18 THEIR IND-ENABLING PRECLINICAL SAFETY AND EFFICACY
19 STUDIES AND SUCCESSFULLY FILED AN IND IN 2012 FOR
20 THEIR PRODUCT THAT CIRM INVESTED IN, AND THEN THEY
21 HAVE A COMPANY CALLED CAPRICOR, WHICH IS A SPINOUT
22 COMPANY THAT OBTAINED NIH FUNDING FOR THE PHASE I
23 COMPONENT OF THE PHASE I-II CLINICAL TRIAL. THEY
24 HAVE INITIATED THE PHASE I COMPONENT ALREADY IN
25 2013, AND THEY'RE ANTICIPATED TO COMPLETE ENROLLMENT

BARRISTERS' REPORTING SERVICE

1 IN THAT PHASE I COMPONENT BY THE END OF THIS YEAR.
2 WE WILL THEN LOOK AT THE DATA. THEIR DATA SAFETY
3 MONITORING BOARD WILL ALSO LOOK AT THE DATA. WE'LL
4 SEE THAT INFORMATION AND THEN THEY WILL HAVE THE
5 OPPORTUNITY TO PROCEED INTO THE RANDOMIZED PHASE II
6 COMPONENT OF THEIR CLINICAL TRIAL.

7 THE PHASE I PORTION IS DESIGNED TO TEST
8 TWO DIFFERENT DOSES OF THE CARDIAC-DERIVED STEM
9 CELLS IN TWO DIFFERENT PATIENT COHORTS COMPRISED OF
10 EITHER A RECENT OR A CHRONIC HEART FAILURE PATIENT
11 AFTER THEIR HEART ATTACK. TO DATE THE TRIAL HAS
12 PROGRESSED SMOOTHLY, AND SO WE'RE LOOKING FORWARD TO
13 EVALUATING THE DATA PROBABLY IN ANOTHER MONTH OR
14 TWO.

15 THE NEXT TEAM IN THE CARDIOVASCULAR SPACE
16 IS DR. JOSEPH WU AT STANFORD. HE HAS A DISEASE TEAM
17 II AWARD THAT'S IN ITS FIRST YEAR OF FUNDING. HE'S
18 LOOKING AT A DIFFERENT THERAPEUTIC APPROACH IN A
19 DIFFERENT THERAPEUTIC INDICATION. HE'S LOOKING AT
20 HUMAN EMBRYONIC STEM CELL-DERIVED CARDIOMYOCYTES FOR
21 THE THERAPEUTIC INDICATION OF END STAGE CONGESTIVE
22 HEART FAILURE. HE'S STILL IN HIS FIRST YEAR OF
23 AWARD; BUT AFTER THE FIRST THREE MONTHS, ALL
24 ACTIVITIES FOR THE MILESTONES FOR THIS PROJECT ARE
25 ON TARGET.

BARRISTERS' REPORTING SERVICE

1 HE IS WORKING WITH DR. SRIVASTAVA FROM
2 GLADSTONE ON STANDARDIZING METHODS FOR THE
3 PRECLINICAL SURGICAL MODELS, ON THE PROCESS
4 DEVELOPMENT TO SELECT THE MANUFACTURING PARAMETERS
5 TO DEMONSTRATE THAT THEY HAVE COMPARABLE PRECLINICAL
6 PROOF OF CONCEPT FOR THE MANUFACTURING OF THIS
7 PRODUCT, WHETHER IT'S DERIVED WITH THE IMPROVED
8 MANUFACTURING METHODS OR WITH THE OLD WAY WITH
9 GROWTH FACTORS. AND THE GOAL OF THIS PROJECT IS TO
10 COMPLETE THE IND-ENABLING STUDY SO THAT THIS TEAM
11 CAN SUCCESSFULLY FILE THE IND FOR THE FIRST-IN-HUMAN
12 CLINICAL TRIAL.

13 IN THE VASCULAR SPACE, BUT NOT HEART, BUT
14 IN THE PERIPHERAL VASCULAR SPACE, DR. LAIRD AT UC
15 DAVIS HAS A DISEASE TEAM II AWARD. ONCE AGAIN, THIS
16 IS IN THE FIRST YEAR. HE'S DEVELOPING ALLOGENEIC
17 MESENCHYMAL STEM CELLS THAT ARE ENGINEERED TO
18 EXPRESS VEG-F. THAT'S THE FACTOR I TALKED ABOUT
19 THIS MORNING. AND IT'S DELIVERED BY INTRAMUSCULAR
20 INJECTION FOR PATIENTS WITH CRITICAL LIMB ISCHEMIA.
21 HIS PRECLINICAL STUDIES ARE STILL IN PROGRESS, AND
22 THE GOAL IS TO COMPLETE THE IND-ENABLING STUDIES TO
23 SUCCESSFULLY FILE THAT IND AND ALSO TO COMPLETE A
24 PHASE I CLINICAL TRIAL.

25 SO HE'S VERY AMBITIOUS, HOPES TO COMPLETE

BARRISTERS' REPORTING SERVICE

1 THE IND FILING AND BE ON TRACK TO COMPLETE A
2 FIRST-IN-HUMAN CLINICAL TRIAL IN THE THERAPEUTIC
3 INDICATION OF CRITICAL LIMB ISCHEMIA.

4 THE NEXT SET OF PROGRAMS ARE FOCUSED ON
5 HIV. SO THEY'RE BOTH TRYING TO ATTACK THE
6 CO-RECEPTOR FOR HIV, WHICH IS CCR5. JUST BECAUSE OF
7 TIME, I'M PROBABLY NOT GOING TO GO INTO A LOT OF THE
8 BACKGROUND FOR WHY CCR5 IS A GOOD TARGET, BUT
9 SUFFICE IT TO SAY THERE'S BEEN OTHER EXPERIMENTS
10 THAT HAVE BEEN DONE IN CLINICAL TRIALS AND IN
11 EXPERIMENTAL-TYPE TREATMENTS BLOCKING THE CCR5
12 CO-RECEPTOR. THE BERLIN PATIENT, AS YOU MIGHT
13 REMEMBER, RECEIVED A BONE MARROW TRANSPLANT FOR HIS
14 ACUTE LEUKEMIA FROM A DONOR WHO HAD A MUTATED CCR5,
15 AND HE IS STILL HIV FREE MANY YEARS DOWN THE ROAD
16 FROM HIS TRANSPLANT.

17 AT THE HIV CURE WORKSHOP THEY TALKED ABOUT
18 A HOST OF OTHERS IN SLIGHTLY DIFFERENT TYPES OF
19 THERAPEUTIC APPROACHES WHERE THERE SEEMS TO BE
20 EVIDENCE THAT THERE'S NO EVIDENCE OF VIRAL INFECTION
21 SEVERAL MONTHS TO LONGER THAN THAT. SO IT SEEMS TO
22 BE A VERY INTERESTING APPROACH.

23 THEY FILED AND HAVE AN APPROVED IND TO
24 CONDUCT A FIRST-IN-HUMAN CLINICAL TRIAL. THE
25 THERAPEUTIC APPROACH IS THEY TAKE THE PATIENT'S OWN,

BARRISTERS' REPORTING SERVICE

1 THE HIV-INFECTED PATIENT'S OWN AUTOLOGOUS
2 HEMATOPOIETIC STEM CELLS AND THEY MODIFY THOSE STEM
3 CELLS AS WELL AS THEIR CD4 POSITIVE T LYMPHOCYTES,
4 WHICH IS THE TARGET FOR HIV, AND THEY MODIFY THEM SO
5 THAT THEY CAN NO LONGER ALLOW ENTRY OF HIV. AND
6 IT'S AT TWO DIFFERENT PLACES. ONE'S AT THE CCR5
7 ENTRY POINT AND THE OTHER IS WHAT'S CALLED THE C 46
8 FUSION ENTRY POINT. SO THEY'RE ATTACKING IT AT TWO
9 DIFFERENT SITES. THEY'VE HAD IRB, THE INSTITUTIONAL
10 REVIEW BOARD, AS WELL AS THE RECOMBINANT DNA
11 ADVISORY COMMITTEE APPROVAL OF THEIR CLINICAL TRIAL
12 AND THEY'RE ENROLLING PATIENTS IN CALIFORNIA AT TWO
13 SITES THIS YEAR.

14 TO DATE THERE'S BEEN NO REPORTS OF SERIOUS
15 SAFETY EVENTS. IN ADDITION, THEY PLAN TO SHARE A
16 TRIAL DESIGN AND DATA FROM A SECOND PLANNED FUTURE
17 EX-U.S. TRIAL WITH THE SAME PRODUCT IN A DIFFERENT
18 SUBGROUP OF HIV PATIENTS, AND THEY'LL SHARE THAT
19 INFORMATION WITH US. AND IF YOU'RE INTERESTED IN
20 MORE DETAILS ABOUT THE CLINICAL TRIAL, IT IS ON
21 CLINICALTRIALS.GOV, AND I PROVIDED THE IDENTIFIER SO
22 YOU CAN LOOK IT UP IF YOU ARE INTERESTED.

23 THE SECOND PROJECT ATTACKING HIV IS WITH
24 DR. ZAIA AT CITY OF HOPE, AND HE'S WORKING WITH A
25 COMPANY CALLED SANGAMO BIOSCIENCES WORKING WITH

BARRISTERS' REPORTING SERVICE

1 THEIR TECHNOLOGY OF ZINC FINGER NUCLEASE.
2 THEY'RE USING AN AUTOLOGOUS APPROACH.
3 HERE THEY'RE FOCUSING PRIMARILY ON THE HEMATOPOIETIC
4 STEM CELLS, AND THEY TOO ARE MODIFYING THE CCR5
5 LOCUS, BUT THEY'RE DOING IT WITH A DIFFERENT
6 TECHNOLOGY, WITH A ZINC FINGER NUCLEUS MRNA. AND
7 HERE THEY'RE TRYING TO DISRUPT THE EXPRESSION OF
8 THAT HIV CO-RECEPTOR. THEY HAVE ACHIEVED
9 PRECLINICAL PROOF OF CONCEPT IN DISEASE MODIFYING
10 ACTIVITY IN PRECLINICAL STUDIES. THEY HAVE
11 COMPLETED THEIR PRE-IND MEETING EARLIER THIS YEAR
12 WITH THE FDA. THEY HAVE HAD A RECOMBINANT DNA
13 ADVISORY COMMITTEE REVIEW WHO UNANIMOUSLY APPROVED
14 THEIR CLINICAL PROTOCOL LAST MONTH IN SEPTEMBER, AND
15 THEY'RE TARGETING AND THEY'RE ON TRACK FOR 2014 FOR
16 THEIR IND FILING WITH A PLAN TO ENTER THE
17 FIRST-IN-HUMAN CLINICAL TRIAL FOR HIV PATIENTS.

18 THE NEXT SET OF PROGRAMS I'LL TRY AND
19 SUMMARIZE IS IN THE AREA OF CANCER. WE HAVE FOUR
20 PROGRAMS THAT I'LL HIGHLIGHT THE MAJOR POINTS.

21 THE FIRST INVESTIGATOR IS DR. DENNY SLAMON
22 AT UCLA. HE'S WORKING WITH DR. TAK MAK IN CANADA.
23 THERE'S COLLABORATIVE FUNDING FOR THIS PROGRAM.
24 THEY'RE LOOKING AT A KINASE. IT'S A POLO KINASE 4
25 PROGRAM. THEY'VE COMPLETED WHAT'S CALLED THEIR CTA.

BARRISTERS' REPORTING SERVICE

1 THAT'S VERY SIMILAR TO WHAT WE CALL AN IND IN
2 CANADA. AND THEY ARE CLEARED IN CANADA TO DO A
3 CLINICAL TRIAL WITH THAT PRODUCT.

4 AT THE SAME TIME THEY HAVE FILED WITH THE
5 FDA, AND THE FDA HAS REQUESTED A CERTIFICATE OF
6 ANALYSIS BEFORE APPROVING THE IND SUBMISSION. THIS
7 IS BASICALLY RELATED TO THE DRUG PRODUCT
8 MANUFACTURING. THEY EXPECT TO FINISH THEIR DRUG
9 PRODUCT MANUFACTURING IN OCTOBER, THIS MONTH, AND
10 WILL HAVE THE CERTIFICATE OF ANALYSIS WHICH WILL
11 THEN BE SENT TO THE FDA. AND THIS WAS REALLY ONE OF
12 THE MAJOR ITEMS THAT THE FDA WANTED TO SEE, AND IT'S
13 SOMETHING THAT THEY CAN DELIVER ON. THE PROJECT IS
14 MOVING VERY WELL. IT HAS A CLINICAL SUPPLY.

15 THE SECOND KINASE PROGRAM, HE SELECTED A
16 DEVELOPMENT CANDIDATE. THEY'VE DETERMINED THE
17 MAXIMUM TOLERATED DOSE AND SOME PILOT TOXICOLOGY
18 STUDIES, AND THEY'VE CONTRACTED WHAT'S CALLED GOOD
19 MANUFACTURING PRACTICE MANUFACTURING FOR THE GOOD
20 LABORATORY PRACTICE TOXICOLOGY STUDIES.

21 THE TEAM ANTICIPATES SELECTION OF A BACKUP
22 FOR THE SECOND KINASE PROGRAM BY THE END OF THIS
23 YEAR. AS I MENTIONED, THEY DO HAVE COLLABORATIVE
24 FUNDING PROGRAM DOLLARS FROM CANADA IN ADDITION TO
25 CIRM FUNDING. THEY'RE PLANNING A FIRST-IN-HUMAN

BARRISTERS' REPORTING SERVICE

1 CLINICAL TRIAL FOR PATIENTS WITH SOLID TUMORS.

2 THE NEXT PROGRAM IN OUR CANCER PORTFOLIO
3 IS ALSO A DISEASE TEAM I PROJECT. IT'S DR. KAREN
4 ABOODY AT THE CITY OF HOPE. SHE'S ALREADY HAD HER
5 PRE-IND MEETING WITH THE FDA, AND HER IND-ENABLING
6 TOX PROTOCOL HAS ALREADY BEEN VETTED.

7 HER THERAPEUTIC APPROACH IS ACTUALLY USING
8 NEURAL STEM CELLS AS A HOMING DEVICE TO ATTACK THE
9 TUMOR BEARING BRAIN CELLS AND DELIVER A PAYLOAD OF A
10 CYTOTOXIC CHEMOTHERAPY. SO HERE SHE'S USING IT AS A
11 DELIVERY VEHICLE TO DELIVER A PAYLOAD WHICH WILL BE
12 TOXIC TO THE BRAIN TUMOR. IT'S A TYPE OF TUMOR
13 THAT'S VERY HARD TO TREAT. IT CAN BE VERY INVASIVE
14 IN THE BRAIN. SO SHE'S TYING TO DO VERY SELECTIVE
15 TARGETING WITH THIS CELL THERAPY APPROACH.

16 SHE'S ALREADY PRESENTED THIS TO THE
17 RECOMBINANT DNA ADVISORY COMMITTEE LAST MONTH.
18 THERE WERE JUST SOME MINOR CHANGES IN THE INFORMED
19 CONSENT THAT SHE WAS ASKED TO MAKE. ONCE AGAIN, IT
20 WAS VERY DOABLE. HER PROOF OF CONCEPT RESULTS HAD
21 SHOWN DECREASED TUMOR VOLUME, PROLONGED SURVIVAL IN
22 A BRAIN TUMOR MODEL WITH A HUMAN GLIOBLASTOMA CELL
23 LINE, AND SHE HAS STUDIES WITH THE PRIMARY BRAIN
24 TUMOR PENDING. HER IND FILING IS ON TRACK FOR
25 FILING FOR A CLINICAL TRIAL FOR PATIENTS WITH BRAIN

BARRISTERS' REPORTING SERVICE

1 CANCER.

2 IN ADDITION, WHAT HER TEAM HAS DONE IS
3 TRIED TO DEVELOP THE IMAGING TECHNOLOGY TO FIGURE
4 OUT WHERE THESE CELLS GO. ONE OF THE THINGS WE FACE
5 WITH THIS TYPE OF PRODUCT AS OPPOSED TO OTHER TYPES
6 OF BIOLOGICS OR SMALL MOLECULES IS THAT THESE CELLS
7 ARE ALIVE. AND SO THERE'S AN INTEREST IN TRACKING
8 THEM. AND YOU MAY BE ABLE TO DO IT VERY WELL IN THE
9 ANIMAL OR YOU COULD SACRIFICE AN ANIMAL AND LOOK TO
10 SEE WHAT HAPPENED, BUT NOT SO EASY IN HUMANS. SHE'S
11 DEVELOPED A NONINVASIVE IMAGING TECHNOLOGY THAT USES
12 VERY SMALL PARTICLES OF IRON TO TRACK WHERE THE
13 CELLS ARE GOING. THIS WAS APPROVED BY THE FDA TO
14 USE THIS AS AN IMAGING TOOL. SHE'S UTILIZED IT IN
15 THREE PATIENTS IN A DIFFERENT STUDY WITH A DIFFERENT
16 PRODUCT, AND THOSE IMAGES ARE BEING EVALUATED AT
17 THIS TIME.

18 SHE ALSO FOUNDED A COMPANY CALLED
19 THERABIOLOGICS FOR THE DEVELOPMENT OF NEURAL STEM
20 CELL-BASED TREATMENTS THAT HOME TO THE BRAIN CANCER
21 AND DELIVER AN ENZYME TO ENHANCE THE CHEMOTHERAPY
22 DELIVERY. SHE'S GOT SIX PUBLICATIONS THAT
23 ACKNOWLEDGE CIRM FUNDING. SHE'S ALSO BEEN AWARDED
24 NIH NINDS FUNDS FOR PRECLINICAL STUDIES OF THE SAME
25 PRODUCT, BUT IN ANOTHER INDICATION. SHE'S LEVERAGED

BARRISTERS' REPORTING SERVICE

1 HER WORK THAT SHE'S DONE HERE WITH CIRM WITH ANOTHER
2 FUNDING AGENCY.

3 DR. WEISSMAN AT STANFORD IS WORKING WITH
4 DR. PERISH VYAS IN THE UK. THEY HAVE IDENTIFIED A
5 NOVEL THERAPEUTIC CANDIDATE. I SHOULD ALSO SAY THEY
6 HAVE MRC FUNDING, MEDICAL RESEARCH COUNCIL, FUNDING
7 FROM THE UK IN ADDITION TO CIRM FUNDING.

8 THEY'RE LOOKING AT AN INHIBITOR TO CD 47,
9 WHICH IS BASICALLY A DON'T EAT ME SIGNAL, AS
10 CHARACTERIZED BY THE INVESTIGATOR, THAT RESIDES ON
11 THE CANCER STEM CELLS. THEY'VE ACHIEVED PRECLINICAL
12 PROOF OF CONCEPT. THEY'VE BEEN THROUGH THEIR
13 PRE-IND DISCUSSIONS, AND THEY HAVE AN IND-ENABLING
14 PLAN THAT'S BEEN VETTED. THEY HAVE THEIR PILOT
15 SAFETY STUDIES COMPLETED. THEY'VE COMPLETED THEIR
16 MANUFACTURING AND HAVE INITIATED THEIR PIVOTAL
17 SAFETY STUDIES.

18 THE IND FILING IS ON TRACK FOR 2014. A
19 PATENT HAS BEEN FILED FOR THE THERAPEUTIC CANDIDATE,
20 WHICH WAS CHARACTERIZED UNDER THE DISEASE TEAM I
21 AWARD. THEY'RE PLANNING A FIRST-IN-HUMAN CLINICAL
22 TRIAL FOR PATIENTS WITH LEUKEMIA AS WELL AS WITH
23 OTHER CANCERS.

24 THE OTHER PROGRAM THAT WE ARE FUNDING IS
25 DR. DENNIS CARSON AT UC SAN DIEGO AND DR. JOHN DICK,

BARRISTERS' REPORTING SERVICE

1 WHO'S RECEIVING FUNDING AS PART OF A COLLABORATIVE
2 FUNDING PARTNERSHIP FROM CANADA. THIS DISEASE TEAM
3 I TEAM IDENTIFIED AN INHIBITOR TO WHAT'S CALLED ROR1
4 ON THE CANCER STEM CELL. ONCE AGAIN, THREE OF THE
5 FOUR PROGRAMS THAT YOU'VE JUST BEEN HEARING ABOUT
6 ARE ATTACKING THE CANCER STEM CELL. ONE IS USING
7 THE STEM CELL AS A HOMING DELIVERY DEVICE.

8 SO THIS GROUP HAS ACHIEVED PRECLINICAL
9 PROOF OF CONCEPT. THEY'RE CONTINUING WORK ON THEIR
10 MANUFACTURING. THEY'RE DEVELOPING THE NECESSARY
11 ASSAYS, FORMULATION, AND STABILITY TO BE ABLE TO
12 MOVE IT TOWARDS THE CLINIC. THEY'RE ON TRACK FOR
13 THEIR IND-ENABLING STUDIES TO BE COMPLETED BY THE
14 END OF THEIR GRANT PERIOD. AND THEY'RE PLANNING A
15 FIRST-IN-HUMAN CLINICAL TRIAL FOR PATIENTS WITH
16 LEUKEMIA.

17 THE NEXT THERAPEUTIC AREA IS THAT OF BLOOD
18 DISEASES, AND WE HAVE SEVERAL PROGRAMS IN THIS
19 SPACE. THE FIRST PROGRAM I'LL DESCRIBE IS DR. DON
20 KOHN AT UCLA. HE HAS A DISEASE TEAM I AWARD. HE'S
21 USING AUTOLOGOUS BONE MARROW HEMATOPOIETIC STEM
22 CELLS THAT HAVE BEEN GENETICALLY MODIFIED. HE'S
23 REENGINEERED THEM SO THAT THEY ENCODE AN
24 ANTI-SICKLING BETA GLOBIN SO THAT PATIENTS WITH
25 SICKLE CELL DISEASE CAN PRODUCE NORMAL RED BLOOD

BARRISTERS' REPORTING SERVICE

1 CELLS. SO THEY'RE TRYING TO CHANGE THE TYPE OF
2 CELLS THESE PATIENTS PRODUCE FROM THE SICKLING ONES
3 THAT GET STUCK IN THE BLOOD VESSELS AND CAN'T
4 FUNCTIONALLY DELIVER THE OXYGEN. THAT'S WHY PEOPLE
5 GO INTO THESE TERRIBLY PAINFUL CRISES AND HAVE LUNG
6 PROBLEMS IN ADDITION TO SEVERE PAIN. SO HE'S TRYING
7 TO CORRECT THAT DEFECT.

8 HE'S ACHIEVED PRECLINICAL PROOF OF CONCEPT
9 AND DISEASE MODIFYING ACTIVITY. HE'S PUBLISHED
10 THESE FINDINGS IN JULY OF THIS YEAR. HE'S COMPLETED
11 HIS PRE-IND MEETING WITH THE FDA. HE HAS A CLEARED
12 PROTOCOL FROM THE RECOMBINANT DNA ADVISORY
13 COMMITTEE. HE'S ESTABLISHED THE CLINICAL SCALE
14 MANUFACTURING PROCESS. HE'S IN PROGRESS WITH HIS
15 SAFETY STUDIES, AND HE'S HAD THE CLINICAL PROTOCOL
16 ALREADY REVIEWED AND APPROVED BY UCLA INSTITUTIONAL
17 REVIEW BOARD AND BY OTHER REGULATORY BODIES AND
18 SCIENTIFIC INSTITUTIONAL BODIES THAT WANT TO GET
19 THEIR EYES ON THAT PROTOCOL. THEY'VE ALL REVIEWED
20 IT; THEY'VE APPROVED IT. SO HE'S ON SCHEDULE TO
21 FILE AN IND IN 2014 FOR THE FIRST-IN-HUMAN CLINICAL
22 TRIAL IN PATIENTS WITH SICKLE CELL DISEASE.

23 THE SECOND PROGRAM IS EARLIER AND IT'S
24 MORE RECENTLY AWARDED. IT'S DR. URNOV AT SANGAMO
25 BIOSCIENCES. HE'S IN HIS FIRST YEAR OF AWARD.

BARRISTERS' REPORTING SERVICE

1 ACTUALLY THEY'RE STILL FINALIZING OUR PREFUNDING
2 ADMINISTRATIVE REVIEW. HE'S DEVELOPING AUTOLOGOUS
3 HEMATOPOIETIC STEM CELLS THAT HAVE BEEN GENETICALLY
4 MODIFIED WITH THE TYPE OF TECHNOLOGY I DESCRIBED
5 EARLIER THAT SANGAMO BIOSCIENCES IS EXPERT WITH, AND
6 THAT'S THE ZINC FINGER NUCLEASE.

7 HERE WHAT HE'S TRYING TO DO IS REACTIVATE
8 THE GAMMAGLOBIN GENE. WHY THIS IS IMPORTANT IS THAT
9 DURING INFANCY THE GAMMAGLOBIN CONTAINING FETAL
10 HEMOGLOBIN PROTECTS PATIENTS WHO HAVE BETA
11 THALASSEMIA FROM DEVELOPING DISEASE SYMPTOMS UNTIL
12 THE GAMMAGLOBIN IS REPLACED BY ADULT TYPE BETA
13 GLOBIN CHAINS.

14 HE'S COMPLETED HIS EARLY DISCUSSIONS WITH
15 THE FDA IN APRIL OF THIS YEAR IN WHICH THE
16 PRECLINICAL, THE MANUFACTURING, AND THE OUTLINE OF
17 THE CLINICAL DEVELOPMENT PLAN WAS DISCUSSED. AND HE
18 GOT SOME NONBINDING ADVICE FROM THE FDA WITH THAT
19 EARLY INTERACTION.

20 DR. SHIZURU AT STANFORD IS THE THIRD
21 PROGRAM. SHE IS THE RECIPIENT OF A RECENT DISEASE
22 TEAM II AWARD. SHE'S IN HER FIRST YEAR OF THAT
23 AWARD. WHAT SHE'S DOING IS DEVELOPING A MONOCLONAL
24 ANTIBODY THAT DEPLETES THE BLOOD STEM CELLS AND CAN
25 ENABLE -- HAS THE POTENTIAL TO ENABLE A CHEMOTHERAPY

BARRISTERS' REPORTING SERVICE

1 FREE TRANSPLANT. THEY'RE GOING TO BE ASSESSING THE
2 SAFETY, THE TOLERABILITY. THEY'LL BE LOOKING AT
3 SOME OF THE PHARMACOLOGY OF THAT PRODUCT OF THE
4 HUMANIZED MONOCLONAL ANTIBODY AS PART OF
5 CONDITIONING THAT WON'T REQUIRE CHEMOTHERAPY FOR
6 TRANSPLANTATION IN PATIENTS INITIALLY WITH SEVERE
7 COMBINED IMMUNODEFICIENCY SYNDROME. THEY'VE ALSO
8 EXECUTED A CONTRACT WITH THE COMPANY FOR RIGHTS TO
9 UTILIZE THAT HUMANIZED MONOCLONAL ANTIBODY, AND
10 THEY'VE ALSO HAD AN FDA INTERACTION EARLIER THIS
11 YEAR TO GO OVER THEIR PLANS.

12 THE NEXT THERAPEUTIC AREA I'M GOING TO
13 TALK ABOUT IS IN THE AREA OF EYE DISEASE. DR. OLSON
14 GAVE YOU A SAMPLING OF SOME OF THE EARLIER WORK THAT
15 BOTH DRS. HUMAYUN AND DR. KLASSEN HAVE DONE.
16 ACTUALLY I THINK IT WAS MAINLY DR. KLASSEN.

17 MS. SAMUELSON: DR. FEIGAL, BEFORE YOU GO
18 ON TO THAT AREA, IN CANCER IS THERE ANY MECHANISM
19 FOR THESE GRANTEES TO HAVE THE BENEFIT OF
20 DEVELOPMENTS ANYWHERE ELSE IN THE WORLD IN THE SAME
21 AREA AS THEY DEVELOP THEIR OWN PLAN OF ATTACK, IF
22 YOU WILL? AND AT THE SAME TIME, IS THERE ANY
23 MECHANISM FOR THE RESULTS YOU'RE DESCRIBING, WHICH
24 YOU SAY THERE'S INTERACTION WITH THE FDA WITH SOME
25 OTHER SCIENTIFIC INSTITUTIONS? IS THAT ACCESSIBLE

BARRISTERS' REPORTING SERVICE

1 TO SCIENTIFIC INSTITUTIONS OUTSIDE THE STATE?

2 DR. FEIGAL: WHAT'S ACCESSIBLE PUBLICLY,
3 WHEN THEY HAVE A MEETING WITH WHAT'S CALLED THE RAC,
4 THE RECOMBINANT DNA ADVISORY COMMITTEE, THOSE
5 DOCUMENTS ARE PUBLIC. AND SO YOU CAN FIND -- WE DO
6 HAVE ACCESS TO WHAT THEY PRESENTED AT THIS PUBLIC
7 MEETING. SO THOSE THINGS ARE PUBLIC.

8 MS. SAMUELSON: I THINK I'M ASKING A
9 BROADER QUESTION BECAUSE THERE'S A BROADER SUPPLY OF
10 DATA THAT IS NECESSARY FOR THE IDEAS TO ADVANCE.
11 I'M NOT THINKING SO MUCH ABOUT THE INDIVIDUAL
12 GRANTEES NOW. THAT'S WHAT WE'RE GOING TO BE GRADED
13 ON, IF YOU WILL. HOW FAR HAS A GIVEN THERAPY
14 ADVANCED TO THE POINT WHERE IT CAN HELP SOMEBODY?
15 IT'S NOT GOING TO MATTER -- IF OUR FUNDING PLAYED
16 SOME ROLE, IT'S NOT GOING TO MATTER IF IT GOES THE
17 WHOLE DISTANCE IN CALIFORNIA OR NOT.

18 DR. FEIGAL: WELL, I BELIEVE FOUR OF THE
19 PROGRAMS THAT I TALKED ABOUT IN CANCER ARE WORKING
20 WITH EX-U.S. ENTITIES. FOR EXAMPLE -- MAYBE IT WAS
21 ONLY THREE AT THIS POINT. BUT WEISSMAN IS WORKING
22 WITH THE UK.

23 MS. SAMUELSON: I THOUGHT SOMEONE --
24 SORRY. GO AHEAD.

25 DR. FEIGAL: AND SLAMON AND CARSON ARE

BARRISTERS' REPORTING SERVICE

1 WORKING WITH INVESTIGATORS IN CANADA. IN TERMS OF
2 SHARING DATA, ONE OF THE ENTITIES THAT WE AIM TO PUT
3 INTO PLACE, WE HAVE A SOLICITATION THAT'S GOING TO
4 BE POSTED THIS MONTH, IS FOR OUR ALPHA CLINIC
5 PROGRAM. AND WE'RE GOING TO HAVE A COMPONENT OF
6 THAT BE WHAT'S CALLED SHARED KNOWLEDGE WHERE THERE
7 MAY BE SOME THINGS THAT WE CAN SHARE ON A BROADER
8 BASIS. RIGHT NOW THERE IS THE EXPECTATION FOR
9 PROJECTS THAT ARE CERTAINLY FUNDED BY US OR FUNDED
10 BY THE NIH THAT THEY NEED TO PUBLISH, BUT WE KNOW
11 THERE'S A LONG LAG TIME FOR THAT. AND THEN ALSO
12 THAT WHEN THEY PRESENT THINGS AT CONFERENCES, THOSE
13 THINGS ARE IN THE PUBLIC DOMAIN.

14 SO WE DO REALIZE THAT WE WOULD LIKE TO
15 KNOW MORE INFORMATION, BUT ALSO BECAUSE OF THE
16 SCIENTIFIC CONFIDENTIAL AND PROPRIETARY INFORMATION,
17 SOMETIMES THEY REALLY CAN'T SHARE SOME OF THIS
18 INFORMATION WHILE THEY'RE WORKING THROUGH THE
19 ISSUES. BUT WE DO HEAR WHAT YOU ARE SAYING, AND
20 WE'RE TRYING TO THINK OF WAYS TO SHARE LESSONS
21 LEARNED.

22 SO THE HUMAYUN PROJECT IN -- THERE'S TWO
23 PROGRAMS IN THE EYE DISEASE, DR. HUMAYUN AT USC, DR.
24 COFFEY, WHO FORMERLY WAS THE UK, BUT HE WAS ACTUALLY
25 SUCCESSFULLY RECRUITED HERE AS A RESEARCH LEADER,

BARRISTERS' REPORTING SERVICE

1 THEY HAVE A DISEASE TEAM I AWARD THAT HAS COMPLETED
2 THE PRE-IND MEETING FOR THE PRODUCT. THEIR
3 THERAPEUTIC APPROACH IS A HUMAN EMBRYONIC STEM
4 CELL-DERIVED WHAT'S CALLED A RETINAL PIGMENT
5 EPITHELIUM. AND THAT'S THE CELL LAYER THAT'S
6 DEFICIENT OR DAMAGED IN PATIENTS WHO HAVE
7 AGE-RELATED MACULAR DEGENERATION. AND THAT'S A
8 THERAPEUTIC INDICATION WHERE IT'S THE MOST COMMON
9 CAUSE OF VISION LOSS IN THE OLDER PATIENT
10 POPULATION.

11 AND THESE CELLS ARE PUT ON A SCAFFOLD SO
12 THAT THEY CAN APPROPRIATELY ANATOMICALLY ENGRAFT AND
13 DO WHAT THEY NEED TO DO IN THE EYE. SO THEY'RE PUT
14 ON A SCAFFOLD SO THAT THEY'RE ORIENTED IN THE
15 APPROPRIATE WAY. HE'S DOING HIS PIVOTAL SAFETY AND
16 EFFICACY STUDIES NOW. HE'S PLANNING FOR AN IND
17 FILING FOR 2014. HE'S SPUN OUT A COMPANY,
18 REGENERATIVE PATCH TECHNOLOGIES. HE HAS FIVE
19 PUBLICATIONS THAT REFERENCE HIS DISEASE TEAM I
20 AWARD. SO, FRANKLY, A LOT OF THE INFORMATION THAT
21 HE IS WORKING ON HE IS PUBLISHING. FOR EXAMPLE,
22 HE'S DESCRIBED THE APPROACH, THE METHODOLOGY, THE
23 DIFFERENTIATION OF HOW HE GETS THESE CELLS AND THE
24 MATRIX ON WHICH HE'S EMBEDDING THE CELLS.

25 THE MAJOR NOVEL ADVANCE WITH THIS APPROACH

BARRISTERS' REPORTING SERVICE

1 IS THE DESIGN AND COMPOSITION OF THE MATRIX THAT
2 SUPPORTS THIS CELL MONOLAYER. AND THE MATRIX WAS
3 REALLY DESIGNED TO MIMIC THE PERMEABILITY
4 CHARACTERISTICS OF THE NATURAL MEMBRANE THAT'S
5 DAMAGED AT THE BACK OF THE EYE SO THAT NUTRIENTS AND
6 OXYGEN CAN FLOW IN THE APPROPRIATE WAY SO THAT THESE
7 CELLS CAN GET NOURISHMENT AND CONTINUE TO SURVIVE.
8 ALSO THE MATRIX IS QUITE INTERESTING BECAUSE IT ALSO
9 NEEDS TO BE STRONG ENOUGH TO ENABLE SURGICALLY
10 HANDLING AND TRANSPLANTATION. THIS CELLS ON A
11 MATRIX APPROACH ALLOWS TRANSPLANTATION OF THE CELLS
12 IN THEIR NATURAL STATE, WHICH IS A POLARIZED
13 MONOLAYER WITH THE TOP SURFACE FACING THE
14 PHOTORECEPTORS AS IS REQUIRED FOR CORRECT
15 FUNCTIONING OF THIS RETINAL PIGMENT EPITHELIUM.

16 THEY'VE DEVELOPED A CUSTOMIZED SURGICAL
17 TOOL TO PERFORM THE TRANSPLANT. THEY HAVE MULTIPLE
18 PATENT FILINGS THAT COVER THE MATRIX AND THE
19 SURGICAL TOOL, AND THEY HAVE COLLABORATIVE FUNDING
20 WITH THE UK.

21 THE SECOND PROGRAM IS DR. HENRY KLASSEN
22 FROM UC IRVINE WHO PROGRESSED FROM HIS EARLY
23 TRANSLATION AWARD. HE'S IN HIS FIRST YEAR OF HIS
24 DISEASE TEAM II AWARD. HE'S USING A DIFFERENT
25 APPROACH IN A DIFFERENT DISEASE AREA. SO HE'S USING

BARRISTERS' REPORTING SERVICE

1 RETINAL PROGENITOR CELLS TO TREAT PATIENTS WHO HAVE
2 A GENETIC DISORDER THAT CAUSES VISION LOSS AND CAN
3 LEAD TO BLINDNESS. AND THIS OFTEN AFFECTS A YOUNGER
4 PATIENT POPULATION BECAUSE IT'S A GENETIC DISORDER
5 AND CAN STRIKE PEOPLE AT A YOUNGER AGE.

6 HE'S CONDUCTED THE ACTIVITIES THAT ARE
7 REQUIRED FOR INITIATION OF THE IND-ENABLING
8 TOXICOLOGY AND THE PROOF OF CONCEPT STUDIES. HE'S
9 HAD HIS PRE-IND MEETING WITH THE FDA, AND HE'S ON
10 TRACK TODAY FOR AN IND FILING IN 2014 TO
11 SUBSEQUENTLY ENTER CLINICAL TRIALS. HE'S PUBLISHED
12 IN THE JOURNAL *CLINICAL INVESTIGATION*, STEM CELLS
13 CLINICAL TRIALS TOWARDS CELL-BASED THERAPY FOR
14 RETINAL DEGENERATION DISEASES. AND HE'S ALSO
15 CREATED A SPIN OUT COMPANY, JCYTE.

16 MOVING TO A DIFFERENT THERAPEUTIC AREA,
17 WHICH IS NEUROLOGIC DISEASE, DR. STEINBERG AT
18 STANFORD HAS A DISEASE TEAM I AWARD WHERE HE'S DONE
19 PRECLINICAL STUDIES TO DEVELOP AN ALLOGENEIC HUMAN
20 EMBRYONIC STEM CELL-DERIVED NEURAL STEM CELL THERAPY
21 FOR PATIENTS WHO HAVE HAD A STROKE. HE HAS ONGOING
22 STUDIES TO DEMONSTRATE THE REPRODUCIBILITY OF THE
23 MANUFACTURING PROCESS, ITS EFFICACY OF THAT PRODUCT
24 IN BOTH AN ACUTE AND A CHRONIC MODEL OF STROKE, AND
25 HE'S LOOKED AT PRELIMINARY TOXICITY IN A MODEL THAT

BARRISTERS' REPORTING SERVICE

1 SUPPORTS THE PERSISTENCE OF THE CELLS.

2 WE'RE ANTICIPATING THE ABILITY TO REVIEW
3 HIS DATA NEXT MONTH, AND THE GOAL IS TO COMPLETE
4 STUDIES FOR SUCCESSFUL FILING OF AN IND.

5 THE NEXT INVESTIGATOR IS DR. SVENDSEN
6 WHO'S AT CEDARS-SINAI, AND HE'S ALSO IN THE FIRST
7 YEAR OF A DISEASE TEAM II AWARD. HE'S WORKING ON
8 THE MANUFACTURING PROCESS, THE PRECLINICAL STUDIES,
9 AND THE DEVICE DELIVERY FOR ALLOGENEIC NEURAL
10 PROGENITOR CELLS THAT ARE GENETICALLY MODIFIED TO
11 PRODUCE GDNF. THE GOAL IS TO COMPLETE STUDIES FOR A
12 SUCCESSFUL FILING OF AN IND AND COMPLETION OF A
13 PHASE I CLINICAL TRIAL FOR PATIENTS WITH ALS. THIS
14 IS A DEVASTATING DISEASE. THERE ARE SOME CLINICAL
15 TRIALS OUT THERE, BUT RIGHT NOW THE ONLY APPROVED
16 THERAPY HAS VERY MODEST EFFECT ON THIS DISEASE. SO
17 THIS IS A VERY HIGH UNMET MEDICAL NEED WITH VERY
18 LIMITED THERAPEUTIC OPTIONS.

19 THE OTHER PROGRAM IN NEUROLOGIC DISEASE IS
20 DR. CAPELA AT STEM CELLS, INC. YOU HEARD A LITTLE
21 BIT ABOUT THE PROGRESSION OF THE EARLY TRANSLATION
22 AWARD AND THE WORK DONE THERE WITH DR. LAFERLA AT UC
23 IRVINE AND HOW THAT CONTRIBUTED TO THE WORK THAT'S
24 BEING DONE IN THIS DISEASE TEAM II AWARD. THEY'RE
25 IN THE FIRST YEAR OF THEIR AWARD. THEY'RE

BARRISTERS' REPORTING SERVICE

1 DEVELOPING A NEURAL STEM CELL TRANSPLANTATION FOR
2 NEUROPROTECTION IN PATIENTS WITH ALZHEIMER'S
3 DISEASE. THEY'RE IN THE FIRST YEAR. AFTER THREE
4 MONTHS ALL THE ACTIVITIES FOR THEIR MILESTONES ARE
5 ON TARGET, AND THEIR GOAL IS TO COMPLETE THEIR
6 PRECLINICAL STUDIES FOR SUCCESSFUL FILING OF AN IND.

7 THE NEXT THERAPEUTIC AREAS ARE IN BONE AND
8 SKIN. NANCY LANE AT UC DAVIS IS IN HER FIRST YEAR
9 OF A DISEASE TEAM II AWARD. SHE'S DEVELOPING A
10 SYNTHETIC MOLECULE LLP2A THAT HAS A LIGAND TO
11 ALENDRONATE, WHICH IS A BISPHOSPHONATE THAT'S
12 UTILIZED IN PATIENTS WHO HAVE OSTEOPOROSIS. WHAT
13 SHE'S TRYING TO DO IS ENHANCE HOMING OF ENDOGENOUS
14 BONE MARROW MSC'S TO THE BONE SURFACE FOR PATIENTS
15 WHO HAVE OSTEOPOROSIS. SHE'S WORKING ON A DETAILED
16 PLAN TO CONDUCT THOSE IND-ENABLING STUDIES THAT
17 INCLUDE MANUFACTURING PROGRAM AND A PRECLINICAL
18 PROGRAM TO ASSESS STABILITY, TOXICOLOGY, AND
19 EFFICACY OF THE PROPOSED DRUG. THE MANUFACTURING
20 AND THE DEVELOPMENT OF THE ANALYTICAL ASSAYS TO
21 CHARACTERIZE AND QUALIFY THE DRUG PRODUCT ARE IN
22 PROCESS AND SUBSEQUENTLY WILL HAVE RELEASE MATERIAL
23 TO CONDUCT THOSE ESSENTIAL STUDIES IN TOX,
24 PHARMACOLOGY, AND STABILITY.

25 THEY PLAN TO SUCCESSFULLY SUBMIT AN IND IN

BARRISTERS' REPORTING SERVICE

1 2014 FOLLOWED BY CONDUCTING A PHASE I CLINICAL
2 TRIAL. SO, ONCE AGAIN, A VERY AMBITIOUS PLAN, AND
3 THEY'RE JUST IN THEIR FIRST YEAR OF A FOUR-YEAR
4 AWARD.

5 DR. ALFRED LANE IS AT STANFORD. HE'S IN
6 HIS THIRD YEAR OF A DISEASE TEAM I AWARD. HE IS
7 WORKING ON A VERY CHALLENGING THERAPEUTIC AREA, A
8 GENETIC DEFECT THAT REALLY CAN CAUSE YOUR SKIN TO
9 SLOUGH OFF AND ALSO AFFECTS THE EPITHELIUM IN OTHER
10 PARTS OF YOUR BODY, LIKE THE WHOLE GI TRACT, THE
11 CORNEA OF THE EYE. IT'S A MULTISYSTEM DISEASE.
12 BASICALLY HE'S DEVELOPING A THERAPEUTIC APPROACH
13 USING INDUCED PLURIPOTENT STEM CELLS. SO THIS IS
14 OUR IPS PROJECT IN THE DEVELOPMENT TEAM.

15 SO HE'S LOOKING AT EPIDERMAL SHEETS FROM
16 EXPANDED AUTOLOGOUS GENETICALLY CORRECTED TO EXPRESS
17 WILD TYPE COLLAGEN 7 IPS-DERIVED KERATINOCYTES FOR
18 PATIENTS WHO HAVE THIS RARE GENETIC SKIN DISORDER
19 THAT LACKS COLLAGEN TYPE 7. THE NAME OF THE
20 DISORDER IS EPIDERMOLYSIS DYSTROPHIC BULLOSA. HE'S
21 ACHIEVED PRECLINICAL PROOF OF CONCEPT. HE'S
22 GENERATED PATIENT-DERIVED GENE CORRECTED LINES.
23 HE'S REALLY FOSTERING WHAT THE REGULATORY PATH COULD
24 BE FOR PATIENT-SPECIFIC IPS-DERIVED THERAPIES. AND
25 AS OPPOSED TO SOME OF THE COMMON DISEASES THAT WE'RE

BARRISTERS' REPORTING SERVICE

1 LOOKING AT, AS YOU CAN TELL, WE'RE ALSO LOOKING AT
2 RARE ORPHAN DISEASES. AND SO HIS GOAL IS TO
3 COMPLETE IND-ENABLING STUDIES TO SUCCESSFULLY FILE
4 AN IND TO ENTER A CLINICAL STUDY.

5 I THINK THIS IS LAST, BUT NOT LEAST. WE
6 HAVE A PROGRAM IN DIABETES, WHICH WE'VE NURTURED ALL
7 ALONG THE WAY FROM EARLY THROUGH DISEASE TEAM AND
8 NOW IN A STRATEGIC PARTNERSHIP. AND IT'S WITH TWO
9 DIFFERENT PI'S. ONE WAS DR. ROBINS FOR THE DISEASE
10 TEAM I. THE STRATEGIC PARTNERSHIP IS WITH DR. FOYT.
11 AND COMPANY THIS IS WITH IS VIACYTE.

12 SO THEY'RE DEVELOPING AN ALLOGENEIC HUMAN
13 EMBRYONIC STEM CELL-DERIVED PANCREATIC CELL
14 PROGENITOR IN A DEVICE THAT CAN BE IMPLANTED
15 SUBCUTANEOUSLY FOR PATIENTS WHO HAVE INSULIN
16 REQUIRING DIABETES. THEIR VISION IS TO HAVE A
17 ONETIME OR INFREQUENT TREATMENT FOR DIABETES AND
18 REPLACE, IF NOT COMPLETELY ELIMINATE, THE NEED FOR
19 INSULIN IN THESE PATIENTS.

20 BECAUSE OF THE HOST IMMUNE RESPONSE, IN
21 ADDITION TO CONCERNS ABOUT ALLOGRAFT REJECTION,
22 THEY'RE PUTTING THIS IN A DEVICE THAT WORKS AS AN
23 IMMUNO-ISOLATION DEVICE IN PRECLINICAL MODELS. THE
24 GLP STUDIES ARE IN PROGRESS. THEY'VE ALREADY
25 COMPLETED ALL THEIR PRE-IND MEETINGS, AND THEY'RE

BARRISTERS' REPORTING SERVICE

1 DOING THEIR PIVOTAL IND-ENABLING GOOD LABORATORY
2 PRACTICE STUDIES RIGHT NOW. THEIR IND FILING IS ON
3 TRACK FOR 2014. IN ADDITION, THEY'VE COMPLETED THE
4 10.6 MILLION IN PRIVATE FINANCING FROM INVESTORS.
5 THIS WAS REALLY A REQUIREMENT TO BE PART OF THAT
6 STRATEGIC PARTNERSHIP PROGRAM. AND THE PEOPLE WHO
7 ARE INVESTING INCLUDE J & J DEVELOPMENT CORPORATION,
8 AND THEY ALSO HAVE COLLABORATIVE FUNDING WITH THE
9 JUVENILE DIABETES RESEARCH FOUNDATION.

10 THE STRATEGIC PARTNERSHIP AWARD HAS BEEN
11 LAUNCHED, AND THEY'RE JUST IN THEIR FIRST YEAR WITH
12 THE GOAL OF THAT AWARD TO COMPLETE AN EARLY PHASE
13 CLINICAL TRIAL.

14 THIS IS A PARTICULARLY -- THERE ARE
15 SEVERAL OF OUR PROJECTS WHERE THERE ACTUALLY ARE
16 BIOMARKERS WHERE IT HAS THE POTENTIAL TO HAVE AN
17 EARLY READ BECAUSE OF THE DISEASE THEY'RE GOING
18 INTO. DIABETES IS ONE WHERE YOU CAN LOOK AT C
19 PEPTIDE WHICH IS ONLY SEEN WHEN YOU HAVE HUMAN
20 INSULIN BEING PRODUCED. YOU HAVE HIV, OF COURSE,
21 WHERE YOU CAN LOOK AT VIRAL LOAD. YOU HAVE VISION
22 LOSS WHERE THE END POINT OF INTEREST IS VISUAL
23 ACUITY. AND WITH THALASSEMIA OR SICKLE CELL, YOU
24 CAN LOOK AT THEIR NEED FOR BLOOD TRANSFUSIONS.
25 SEVERAL OF THE PROJECTS THAT WE'RE WORKING ON HAVE

BARRISTERS' REPORTING SERVICE

1 BIOMARKERS OR AT LEAST NEAR TERM ENDPOINTS THAT CAN
2 REFLECT WHETHER OR NOT THEY'RE GOING IN THE RIGHT
3 DIRECTION.

4 THIS IS JUST A STATUS UPDATE OF ALL THE
5 DISEASE TEAM I COHORTS. IT GIVES ALL THE DIFFERENT
6 DISEASES WE'RE LOOKING AT, HOW MUCH THEY WERE
7 AWARDED, AND THEIR CURRENT STATUS.

8 MAYBE FOR PURPOSES OF TIME, I WON'T SPEND
9 MUCH TIME ON THIS. YOU CAN LOOK AT IT WHENEVER YOU
10 HAVE YOUR LEISURE. AND IF QUESTIONS CROP UP, PLEASE
11 FEEL FREE TO RECONTACT US.

12 THIS IS THE STATUS OF THE DISEASE TEAM II
13 AND THE STRATEGIC PARTNERSHIPS I AND II. ONCE
14 AGAIN, THE PI, THE DISEASE, HOW MUCH THEY WERE
15 AWARDED, AND THEIR CURRENT STATUS.

16 THE OTHER THINGS THAT CIRM DOES, IN
17 ADDITION TO PROVIDING RESEARCH FUNDING, IS ACTUALLY
18 WORKING EXTENSIVELY WITH THE INVESTIGATORS TRYING TO
19 HELP THEM AVOID BUMPS IN THE DEVELOPMENT PATHWAY.
20 AND THOSE BUMPS CAN BE QUITE SUBSTANTIAL AT TIMES.

21 ACTUALLY ALL OF OUR SCIENCE OFFICERS ARE
22 ASSIGNED DIFFERENT TEAMS, AND THEY WORK WITH THE
23 TEAMS TO HELP BUILD THE PRODUCT DEVELOPMENT
24 EXPERIENCE IN CALIFORNIA. THE PROGRAMS, ALL OF THEM
25 ARE DRIVEN BY THE SCIENCE AND THE EVIDENCE AND ALSO

BARRISTERS' REPORTING SERVICE

1 THE REGULATORY CONSIDERATIONS THAT ARE NEEDED ON THE
2 DEVELOPMENT PATHWAY. SO PRIOR TO ALL THESE AWARDS,
3 WE SIT DOWN WITH THE INVESTIGATORS AND SET MUTUALLY
4 AGREED UPON GO/NO-GO MILESTONES, PROGRESS
5 MILESTONES, AND SUCCESS CRITERIA. AND DURING THE
6 CONDUCT OF RESEARCH, WE HAVE DISCUSSIONS AT LEAST
7 QUARTERLY WITH UPDATES ON THEIR INTERVAL AND ANNUAL
8 PROGRESS. WE REVIEW THEIR PRECLINIC -- THE SCIENCE
9 OFFICERS REVIEW THE PRECLINICAL AND CLINICAL
10 PROTOCOLS, THE REGULATORY STRATEGY, AND HELP PREP
11 THEM FOR INTERACTIONS WITH THE FDA, AND THEY ALSO
12 ATTEND THE TEAM MEETINGS.

13 IN ADDITION, AS I MENTIONED EARLIER DURING
14 THE PRESIDENT'S REPORT, WE ALSO PROVIDE EDUCATION
15 AND TRAINING OF TEAMS THROUGH OUR CIRM-FDA WEBINARS,
16 ROUNDTABLES, CONFERENCES, AND SEMINARS.

17 WE ALSO PROVIDE A HOST OF DIFFERENT TYPES
18 OF TEMPLATES TO HELP GUIDE THEM AS THEY'RE, ONE,
19 PUTTING TOGETHER THEIR APPLICATIONS FOR THE PROGRAM
20 AND ALSO DURING THE CONDUCT OF THEIR RESEARCH. I
21 WOULD SAY THE VAST MAJORITY OF OUR INVESTIGATORS ARE
22 FROM THE ACADEMIC COMMUNITY AND DEVELOPING A PRODUCT
23 IS NOT SOMETHING THAT WAS IN THE MIDDLE OF THEIR
24 RADAR SCREEN. SO WE TEND TO HAVE A LOT OF
25 INTERACTIONS WITH THEM SO THAT THEY CAN BE MORE

BARRISTERS' REPORTING SERVICE

1 SUCCESSFULLY PREPARED TO GO DOWN THAT PATHWAY
2 BECAUSE WE OBVIOUSLY HAVE A GREAT INTEREST IN MAKING
3 SURE WE'RE DOING WHAT WE CAN TO SUCCESSFULLY
4 POSITION THEM. SO THESE ARE JUST A LIST OF SOME OF
5 THE THINGS THAT WE DO.

6 AS I MENTIONED, WE HAVE WEBINARS,
7 ROUNDTABLES, AND WORKSHOPS. THE MOST RECENT
8 WORKSHOP WE HAD WAS IN WASHINGTON, D.C. WITH THE
9 HOST OF COSPONSORS THAT I MENTIONED EARLIER DOING A
10 REPORT. AND BASICALLY WHAT WE HOPE TO TAKE OUT OF
11 THAT IS LESSONS LEARNED REGARDING CELL AND
12 MANUFACTURING, PRECLINICAL, CLINICAL, AND TO SHARE
13 EXPERIENCES AND PLACES WHERE WE MIGHT BE ABLE TO GET
14 A CONVERGENCE OF HOW WE WORK WITH THE EXPEDITED
15 APPROVAL PATHWAYS.

16 WE ALSO WORK WITH OUR EXTERNAL ADVISORS.
17 SO IT'S NOT JUST INTERNAL. WE BRING IN EXTERNAL
18 ADVISORS ON INDIVIDUAL DEVELOPMENT PROJECTS AT KEY
19 MILESTONES. THEY'RE CALLED THE CLINICAL DEVELOPMENT
20 ADVISORY PANEL. WE HAVE A SERIES OF THEM. THEY
21 COMPLEMENT OUR CIRM SCIENCE INTERACTIONS WITH THE
22 DEVELOPMENT TEAMS. THEY HAVE EXPERTISE IN PRODUCT
23 DEVELOPMENT, IN PRECLINICAL AND CLINICAL CELL
24 PROCESS AND MANUFACTURING, REGULATORY, STEM CELL AND
25 DISEASE-SPECIFIC BIOLOGY, AND DISEASE-SPECIFIC

BARRISTERS' REPORTING SERVICE

1 CLINICAL EXPERTISE. AND THEY ALSO HAVE EXPERTISE OR
2 EXPERIENCE IN COMMERCIAL RELEVANCE.

3 THERE'S AT LEAST A YEARLY MEETING WITH
4 EACH DEVELOPMENT TEAM TO ASSESS KEY MILESTONES. AND
5 THEIR ADVICE HELPS INFORM CIRM DECISIONS. I CAN SAY
6 WHEN WE FIRST GOT STARTED, IT WAS LIKE A DEER IN THE
7 HEADLIGHTS FOR THE TEAM BECAUSE IT WAS A LITTLE BIT
8 ANXIETY PROVOKING TO PUT YOUR BLEMISHES AND MARKS
9 OUT THERE FOR YOUR FUNDER TO SEE. BUT BASICALLY
10 THEY CAME TO UNDERSTAND THAT WE ACTUALLY ARE FROM
11 THE GOVERNMENT AND WE'RE HERE TO HELP YOU, TRYING TO
12 WORK WITH THEM ON APPROACHES. SO IT WAS A
13 PROFESSIONAL TYPE OF INTERACTION TO HELP THEM GO
14 FORWARD. AND THE ADVICE HAS HELPED INFORM OUR
15 DECISIONS ABOUT WHETHER OR NOT TO CONTINUE FORWARD
16 PROGRESS, WHETHER TO REFINE THE APPROACH, WHETHER TO
17 MODIFY MILESTONES, TIMELINES, BUDGET. WE HAVE
18 TERMINATED PROJECTS, AND WE HAVE CONVERTED SOME
19 PROJECTS TO AN EARLIER PHASE WITH A REDUCED SCOPE
20 AND BUDGET.

21 IN ADDITION, I'LL JUST BRIEFLY TOUCH.
22 WE'VE ALSO USED EXTERNAL ADVISORS TO THINK ABOUT OUR
23 PORTFOLIO AND TO HELP US THINK THROUGH WHAT ARE SOME
24 OF THE ISSUES SO THAT WE CAN FOCUS AND PRIORITIZE TO
25 MEET OUR STRATEGIC GOALS. WE HAVE A TRANSLATIONAL

BARRISTERS' REPORTING SERVICE

1 PORTFOLIO THAT YOU'VE HEARD ABOUT TODAY THAT IS VERY
2 BROAD, IT CAN BE DEEP, BUT WE KNOW WE'RE NOT GOING
3 TO BRING 70 PROJECTS ALL THE WAY THROUGH. WE HAVE A
4 FINITE PURSE, WALLET. AND AS WAS MENTIONED EARLIER,
5 WE'RE IN THAT PHASE CALLED FOCUS. AND WE'RE IN THAT
6 PHASE WHERE WE HAVE A STRATEGIC GOAL. AT LEAST ONE
7 OF THEM IS TO SHOW CLINICAL PROOF OF CONCEPT. SO
8 THERE'S A REAL NEED TO PRIORITIZE AND FOCUS WHAT WE
9 DO.

10 RIGHT NOW WE TEND TO DO THINGS PROJECT BY
11 PROJECT BY PROJECT. AND BECAUSE, ONE, WE'RE NOT A
12 COMPANY, SO WE DON'T HAVE TOTAL CONTROL OVER WHICH
13 THINGS COME IN AND HOW THINGS CAN ADVANCE, BUT WE'RE
14 TRYING TO THINK HOW CAN WE GET OUR ARMS SO THAT WE
15 ACTUALLY DO HAVE A CHANCE OF REACHING THAT CLINICAL
16 PROOF OF CONCEPT GOAL AND ALSO NOT COMPLETELY
17 EXHAUSTING THE BUDGET BEFORE WE CAN GET TO THAT
18 POINT. SO WE ARE ADVANCING PROJECTS TO THE
19 PIPELINE. WHAT ARE THE KEY CRITERIA IN TERMS OF
20 CHARACTERISTICS OR ATTRIBUTES TO CONSIDER FOR
21 IDENTIFYING WHICH PROJECTS TO SELECT FOR MORE
22 FOCUSED ATTENTION AND FUNDING? CONSIDERATIONS FOR
23 WHICH TYPE OF PLATFORMS.

24 RIGHT NOW WE'RE PRETTY ECUMENICAL. WE GO
25 ACROSS A VARIETY OF DIFFERENT TYPES OF CELL THERAPY.

BARRISTERS' REPORTING SERVICE

1 IS IT THE RIGHT BALANCE OF AUTOLOGOUS RELATIVE TO
2 ALLOGENEIC? IS IT THE RIGHT BALANCE OF PLURIPOTENT
3 RELATIVE TO ADULT STEM CELLS? SHOULD IT BE MORE
4 FOCUSED ON CELL THERAPY RELATIVE TO MORE STANDARD
5 APPROACHES LIKE BIOLOGICS AND SMALL MOLECULES, WHICH
6 ALREADY HAVE A WELL-DEVELOPED INDUSTRY TO DEVELOP
7 THEM?

8 WHAT ABOUT THE FOCUS ON THE TYPE OF
9 DISEASES, RARE OR COMMON? WHAT ABOUT THE ISSUE OF
10 BALANCING THAT HIGH RISK, POTENTIALLY HIGH IMPACT
11 VERSUS WE WANT TO GET TO OUR GOAL? IS THERE A NEAR
12 TERM WAY TO REACH IT? AND WHAT ABOUT HOW MUCH DO WE
13 NURTURE WITHIN OUR ENDOGENOUS PIPELINE VERSUS
14 CONTINUING TO BE POROUS AND ALLOW EXTERNAL THINGS TO
15 COME IN? THE ISSUE OF HOW MUCH OF A PROPORTION TO
16 HAVE EARLY PRECLINICAL VERSUS THE DEVELOPMENT TEAMS.
17 AND IN THE EARLY PRECLINICAL, AS YOU HEARD, WE HAVE
18 PROBABLY ABOUT 50 PROJECTS AT THIS POINT IN TIME.
19 WHICH ATTRIBUTES SHOULD WE CONSIDER FOR FURTHER
20 INVESTING?

21 SO WE DID CONVENE EXTERNAL ADVISORS IN
22 JULY TO TALK ABOUT THE STRATEGY FOR TRANSLATIONAL
23 PORTFOLIO. IT WASN'T ABOUT INDIVIDUAL PROJECTS. IT
24 WAS MORE ABOUT ATTRIBUTES. WHAT ARE THE ATTRIBUTES
25 OF WHAT YOU'D WANT TO HAVE IN A TRANSLATIONAL

BARRISTERS' REPORTING SERVICE

1 PORTFOLIO? AND WE CONVENED A GROUP THAT HAD EITHER
2 BEEN ON OUR GRANT REVIEW GROUP OR BEEN PARTICIPANTS
3 IN CDAP BECAUSE WE DIDN'T WANT TO START AT GROUND
4 ZERO. WE WANTED TO START WITH INVESTIGATORS WHO
5 ALREADY KNEW ABOUT CIRM, OUR MISSION, AND WERE
6 FAMILIAR WITH THE PROJECTS. AND SO WE HAD A ONE-DAY
7 DISCUSSION TALKING ABOUT THE ATTRIBUTES OF WHAT
8 WOULD CONSTITUTE A COMPETITIVE TRANSLATIONAL
9 PORTFOLIO FOR DEVELOPING EFFECTIVE THERAPIES AND
10 ADVICE ON STRATEGIES TO GET THERE.

11 WE HAD A DISCUSSION ON CRITICAL ATTRIBUTES
12 SEPARATED BY THE TARGET DISEASES AND THERAPEUTIC
13 AREAS AND ABOUT THE PRODUCT CHARACTERISTICS. AND WE
14 ALSO HAD A DISCUSSION ABOUT WHAT DOES IT EVEN MEAN
15 TO GET CLINICAL PROOF OF CONCEPT. AND WHAT ARE SOME
16 OF THE EARLY ENDPOINTS ONE WOULD LOOK AT IN CLINICAL
17 TRIALS? AND WHAT WOULD BE THE ISSUES IN
18 COMMERCIALIZATION?

19 WE'RE CURRENTLY IN THE PROCESS OF
20 DELIBERATING ON WHAT WE HEARD FROM THAT MEETING, BUT
21 SOME OF THE THEMES THAT CAME OUT IS AS MUCH AS
22 POSSIBLE TRY TO FOCUS ON AREAS WHERE THERE IS
23 KNOWLEDGE ABOUT THE MECHANISM OF ACTION FOR THAT
24 DISEASE AND SOME WAY TO LINK WITH THE THERAPEUTIC
25 APPROACHES WITH THAT MECHANISM OF ACTION. ALSO, IT

BARRISTERS' REPORTING SERVICE

1 WOULD BE EXTREMELY HELPFUL TO FOCUS ON THOSE
2 THERAPEUTIC AREAS WHERE THERE ACTUALLY IS A
3 BIOMARKER OR THE ABILITY FOR AN EARLY READ RATHER
4 THAN HAVING TO WAIT ALL THE WAY THROUGH THE END OF A
5 VERY LONG, EXPENSIVE CLINICAL TRIAL PROCESS.

6 WE ALSO TALKED ABOUT PRODUCT
7 CHARACTERISTICS. AND THERE REALLY WASN'T A
8 CONSENSUS OTHER THAN TO SAY YOU PROBABLY NEED TO
9 HAVE A BALANCE OF POTENTIALLY HIGH RISK, HIGH
10 IMPACT, AND NEAR-TERM OPPORTUNITIES, BUT WE CAN
11 PROBABLY TALK ABOUT THAT LATER TODAY. AND SO WHAT
12 I'VE TRIED TO DO IS REALLY GIVE YOU A RUN-THROUGH OF
13 THE DIFFERENT DEVELOPMENT TEAMS, WHAT WE'RE FUNDING,
14 WHERE THEY ARE IN THE TIMELINE, AND HOW WE WORK WITH
15 THEM TO MANAGE THEM TO TRY AND DO WHAT WE CAN TO
16 SUCCESSFULLY POSITION THEM. SO THANK YOU VERY MUCH.

17 CHAIRMAN THOMAS: THANK YOU. COMMENTS BY
18 MEMBERS OF THE BOARD? AL.

19 MR. ROWLETT: I JUST WANT TO ACKNOWLEDGE
20 THAT THIS PARTICULAR PORTION OF YOUR PRESENTATION IS
21 VERY HELPFUL FOR THE NEW BOARD MEMBER ESPECIALLY
22 BECAUSE, AND I'LL JUST SAY ANECDOTALLY, IT REMINDS
23 ME OF AN INVESTMENT PORTFOLIO, AND WHAT YOU'RE
24 TRYING TO PRESENT TO ME AND THE NOMENCLATURE THAT I
25 HAVE TO THEN UTILIZE TO TALK TO CITIZENS IS EMBEDDED

BARRISTERS' REPORTING SERVICE

1 HERE. AND SO IF I CAN GET MORE INFORMATION ABOUT
2 THIS CONVERSATION, THAT WOULD BE HELPFUL. AND THEN
3 YOU ALIGN THIS WITH OUR STRATEGIC PLAN GOING FORWARD
4 I THINK IS IMPORTANT TO THE CITIZENS OF OUR STATE.

5 DR. FEIGAL: THANK YOU.

6 CHAIRMAN THOMAS: THANK YOU, MR. ROWLETT.

7 DR. DULIEGE: ACTUALLY, ELLEN, YOU MAY
8 HAVE SAID IT. I MAY HAVE MISSED IT. BUT AT AN
9 UPCOMING ICOC MEETING, WILL YOU BE ABLE TO SUMMARIZE
10 THESE RECOMMENDATIONS? IS THAT THE PLAN? YOU'RE
11 CURRENTLY DELIBERATING ON THESE RECOMMENDATIONS.

12 DR. FEIGAL: I'D BE HAPPY TO SHARE THOSE
13 IN SOME SORT OF DOCUMENT OR AT THE UPCOMING ICOC.

14 DR. DULIEGE: THAT'S DEFINITELY OF GREAT
15 INTEREST TO EVERYBODY, WHICH IS --

16 DR. FEIGAL: MAYBE IT WILL COME UP IN A
17 DISCUSSION WE HAVE A LITTLE BIT LATER TODAY.

18 DR. DULIEGE: THAT'S THE WHOLE POINT.

19 MR. ROWLETT: I AGREE.

20 MS. LANSING: I REALLY WANT TO THANK YOU
21 FOR THIS REPORT BECAUSE FOR THOSE OF US WHO ARE
22 PATIENT ADVOCATES, WHEN WE HEAR ABOUT THE CLINICAL
23 TRIALS, I THINK I SPEAK FOR ALL OF US, NOT JUST
24 PATIENT ADVOCATES, BUT THE SCIENTISTS AND EVERYONE
25 IN THE ROOM, THIS IS WHAT WAS THE DREAM OF CIRM.

BARRISTERS' REPORTING SERVICE

1 AND I'M SO ENCOURAGED BY THE VARIOUS AREAS THAT
2 YOU'RE IN, THE DEPTH OF IT, THE BREADTH OF IT.

3 AND ALSO I JUST WANTED TO REEMPHASIZE THAT
4 PART OF OUR MISSION WAS SHARING THE INFORMATION.
5 AND THE WAY I UNDERSTAND IT, THAT'S GOING ON VERY,
6 VERY MUCH SO. IT'S ALL PUBLICLY PUBLISHED AND IT'S
7 ALL THERE FOR ANYONE TO REACH OUT AND FIND. I THINK
8 JOAN LEFT THE ROOM, BUT I JUST WANTED TO MAKE SURE
9 EVERYONE UNDERSTOOD THAT, THAT WE DON'T HOLD
10 ANYTHING TO OURSELVES. EVERYTHING IS OPEN TO
11 EVERYBODY.

12 SO OUR CLINICAL TRIALS AND OUR ADVANCES
13 ARE HELPING MANY, MANY CLINICAL TRIALS THAT WE HAVE
14 NOTHING TO DO WITH BECAUSE THEY'RE TEACHING OTHER
15 SCIENTISTS SOMETHING THAT'S HAPPENING AHEAD OF TIME.

16 DR. FEIGAL: I DO WANT TO JUST PUT A
17 QUALIFICATION ON THAT STATEMENT. WE DON'T ACTUALLY
18 MAKE EVERYTHING PUBLIC. IF IT'S CONFIDENTIAL AND
19 PROPRIETARY, WE OBVIOUSLY DON'T.

20 MS. LANSING: BUT IT IS -- AGAIN, I
21 UNDERSTAND, BUT IT IS OUR MISSION NOT TO KEEP
22 SOMETHING SECRET, BUT TO EXPOSE IT TO AS WIDE AN
23 AUDIENCE AS POSSIBLE, NOT JUST TO BENEFIT OUR
24 INDIVIDUAL CLINICAL TRIAL, BUT TO BENEFIT MANY
25 CLINICAL TRIALS THAT ARE GOING ON AND BASIC SCIENCE

BARRISTERS' REPORTING SERVICE

1 AS WELL.

2 CHAIRMAN THOMAS: OTHER COMMENTS BY
3 MEMBERS OF THE BOARD? WE HAVE A COMMENT BY A MEMBER
4 OF THE PUBLIC.

5 MR. HENRY: MY NAME IS EVAN HENRY, AND I'M
6 A PATIENT ADVOCATE PRIMARILY WORKING WITH
7 PARKINSON'S. I JUST GOT BACK FROM MONTREAL WHERE
8 THEY HAD THE PARKINSON'S STUDY GROUP MEETING, ANNUAL
9 MEETING, AS WELL AS THE WORLD PARKINSON'S CONGRESS.
10 ONE OF THE BIGGEST TOPICS OF CONVERSATION WAS
11 PATIENT RECRUITMENT. ARE WE GOING TO HAVE THE
12 NUMBER OF PATIENTS WE NEED FOR ALL THE TESTS AND
13 RESEARCH TRIALS WE'RE GOING TO HAVE IN THE FUTURE
14 AND ALL SORTS OF MEDICAL RESEARCH?

15 SO I'M ASKED TO MAKE MY COMMENT DIRECTLY
16 TO CIRM IN THE FORM OF THREE QUESTIONS. FIRST ONE,
17 HAVE THERE BEEN ANY INDICATIONS OF ADDED COST,
18 DELAYS, OR OTHER SIGNIFICANT UNDESIRABLE IMPACTS ON
19 CIRM-FUNDED RESEARCH DUE TO INABILITY TO IDENTIFY
20 AND RECRUIT THE NUMBER OF HUMAN SUBJECTS, AKA
21 PATIENTS, THAT ARE DESIRED OR NEEDED?

22 NO. 2 --

23 CHAIRMAN THOMAS: CAN I JUST ASK, SINCE WE
24 WANT TO MAKE SURE WE REMEMBER ALL YOUR QUESTIONS,
25 PERHAPS, DR. FEIGAL, YOU COULD ANSWER EACH IN TURN.

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: OUR EXPERIENCE IN CLINICAL
2 TRIALS RIGHT NOW IS LIMITED, BUT ACTUALLY ONE OF THE
3 RECOMMENDATIONS FROM THE TRANSLATIONAL GROUP THAT WE
4 MET WITH IN JULY SAID ENROLLMENT, HUGE ISSUE. AND
5 PEOPLE ALWAYS OVERESTIMATE WHAT THEY CAN DO IN TERMS
6 OF GETTING PATIENTS ONTO CLINICAL TRIALS REGARDLESS
7 OF THE STEM CELL PLATFORM, PARTICULARLY FOR EARLY
8 PHASE CLINICAL TRIALS, UNLESS THERE'S REALLY NOTHING
9 ELSE OUT THERE AND THERE'S REALLY NOT OPTIONS.

10 IN OUR OWN EXPERIENCE, IT'S VERY EARLY.
11 SO WE DON'T HAVE ANY EXPERIENCE TO SHARE ABOUT
12 ENROLLMENT PROBLEMS, BUT I WOULD ANTICIPATE, SINCE
13 THAT'S THE NORM, IS TO HAVE CHALLENGES ARISE WITH
14 ENROLLMENT. WE ARE VERY CLOSELY LOOKING AT THAT
15 WHEN WE WORK WITH THE TEAMS TO MAKE SURE ARE THEY
16 THINKING ABOUT IT? WHAT'S THEIR BACKUP PLAN? HOW
17 TO MAKE SURE THE INTEGRITY OF THEIR CLINICAL TRIAL
18 STAYS INTACT? ARE THEY LOOKING AT THE RIGHT PATIENT
19 POPULATION? IS THE PROTOCOL TOO RIGID AND PEOPLE
20 DON'T WANT TO GO ON IT BECAUSE OF THE WAY IT'S
21 WRITTEN OR BECAUSE OF THE FREQUENCY OF TESTS? SO
22 THERE ARE A NUMEROUS NUMBER OF THINGS IN ADDITION TO
23 SCIENTIFIC ISSUES, THE OPERATIONAL ISSUES THAT WE
24 NEED TO FOCUS ON.

25 MR. HENRY: THE SECOND ONE FOLLOWS UP ON

BARRISTERS' REPORTING SERVICE

1 THAT ANSWER. IRRESPECTIVE OF THE ANSWER, SHOULD
2 CIRM BE CONCERNED ABOUT AVAILABILITY AS MORE AND
3 MORE TRANSLATIONAL RESEARCH INVOLVES CLINICAL TRIALS
4 WITH HUMAN SUBJECTS? THAT'S A VOLUME QUESTION.

5 AND THEN THE LAST ONE IS, IF SO, COULD
6 ADDITIONAL CIRM FUNDS BE MADE AVAILABLE TO ENHANCE
7 DEFICITS IN PATIENT RECRUITMENT THAT THREATEN TIMELY
8 COMPLETION AND OVERALL SUCCESS OF THE RESEARCH THAT
9 CIRM FUNDS? AND COULD THAT BE DONE IN GENERAL AS A
10 GENERAL RECRUITMENT PROGRAM FOR ANY KIND OF PATIENT
11 OR FOR THE SPECIFIC PROJECTS THAT NEED TO COME BACK
12 TO CIRM FOR ADDITIONAL FUNDS?

13 DR. FEIGAL: I CAN ACTUALLY ANSWER THOSE
14 TWO QUESTIONS IN TANDEM. WE ACTUALLY HAVE BEEN
15 ACTIVELY THINKING ABOUT THE NEXT STEP. WE'VE BEEN
16 WORKING WITH INDIVIDUAL PROJECTS, BUT WE'RE PLANNING
17 FOR SUCCESS AND THAT THERE WILL BE MORE CLINICAL
18 TRIALS. AND SO WE ACTUALLY DO HAVE AN INITIATIVE
19 CALLED THE ALPHA STEM CELL CLINIC THAT WE'RE GOING
20 TO BE POSTING LATER THIS MONTH WHERE THERE WILL BE A
21 COORDINATING INFORMATION MANAGEMENT CENTER AND FIVE
22 WHAT'S CALLED ALPHA CLINIC SITES. AND ONE OF THE
23 BIG ISSUES TO FOCUS ON IS PATIENT ENROLLMENT. SO
24 WE'RE HOPING BY HAVING A COORDINATED EFFORT AND THE
25 ABILITY TO PROVIDE SOME EFFICIENCIES AND EFFECTIVE

BARRISTERS' REPORTING SERVICE

1 WAYS TO ADDRESS PATIENT ENROLLMENT ISSUES ACROSS A
2 BROAD SWATH OF THERAPEUTIC APPROACHES, AND IT IS NOT
3 LIMITED TO CIRM-FUNDED RESEARCH PROJECTS, SO WE
4 EXPECT EITHER CALIFORNIA PROJECTS OR OTHER EXTERNAL
5 PROJECTS THAT WANT TO COME INTO CALIFORNIA BECAUSE
6 PART OF WHAT WE'RE TRYING TO DO IS TO HAVE PATIENTS
7 IN CALIFORNIA HAVE ACCESS TO PROMISING THERAPIES
8 WHETHER IT ORIGINATED HERE OR WE'RE TRYING TO ENTICE
9 SOME OF THEM TO PATIENTS HERE IN CALIFORNIA SO THAT
10 THEY ACTUALLY HAVE THE POSSIBILITY TO ENROLL ON
11 THEM.

12 IN ADDITION, WE SEE IT AS A POTENTIAL HUB
13 FOR A MORE NATIONAL OR INTERNATIONAL NETWORK.

14 MR. HENRY: THANK YOU.

15 MR. REED: AS SOME OF YOU KNOW, THE
16 GOVERNOR RECENTLY VETOED THE ROMAN REED SPINAL CORD
17 INJURY RESEARCH ACT FOR THE SECOND TIME. THE FIRST
18 TIME HE VETOED IT BECAUSE OUR FUNDING MECHANISM WAS
19 A TRAFFIC TICKET ADD-ON OF \$1. HE DID NOT APPROVE
20 OF THAT. HE SAID IT HAS TO COME FROM THE GENERAL
21 FUND. THE SECOND TIME IT WAS FROM THE GENERAL FUND.
22 IT PASSED THE SENATE WITH A HUNDRED PERCENT SUPPORT,
23 39 TO ZERO. IT PASSED THE ASSEMBLY 68 TO 3, AND THE
24 GOVERNOR STILL TURNED IT DOWN. HE SAID HE REGARDED
25 IT AS TRYING TO INTERFERE WITH THE CALIFORNIA UC

BARRISTERS' REPORTING SERVICE

1 SYSTEM, AND THAT HAS NEVER BEEN THE CASE BEFORE.

2 IT'S ALWAYS BEEN EXTRA MONEY COMING INTO THEM.

3 SO IF ANYBODY -- WE'RE STILL FIGHTING.

4 WE'RE TRYING TO FIND A WAY TO AT LEAST WORK WITHIN
5 THE UC SYSTEM AND HAVE EVERYBODY GIVE US A FEW BUCKS
6 HERE AND THERE TO KEEP THE PROGRAM ALIVE, VERY
7 VALUABLE PROGRAM WHICH MAY BE KILLED FOR A POLITICAL
8 DEFINITION.

9 I'D ALSO LIKE TO ASK CIRM TO KEEP IN THE
10 BACK OF THEIR MIND THAT SPINAL CORD INJURY IS A
11 TREMENDOUS DEVASTATING CONDITION WHICH AFFECTS 5.6
12 MILLION AMERICANS. SO KEEP IT IN THE BACK OF YOUR
13 MIND WHEN YOU MAKE DECISIONS ON WHAT SHOULD BE
14 FUNDED. THANK YOU.

15 CHAIRMAN THOMAS: THANK YOU, DON. ELLEN
16 AND PAT, THANK YOU FOR A VERY ENLIGHTENING UPDATE.
17 I THINK THIS IS WHAT WE'RE ALL ABOUT. I THINK THAT
18 IT'S EXTREMELY VALUABLE FOR THE BOARD TO HEAR ALL OF
19 THIS BECAUSE IT SPEAKS ABOUT THE GREAT PROGRESS THAT
20 OUR PORTFOLIO IS MAKING AND THE WIDE RANGE OF
21 DISEASES AND CONDITIONS THAT WE ARE ATTEMPTING TO
22 FIND CURES FOR.

23 MR. JENSEN, WELCOME. AS I DID A COUPLE OF
24 YEARS AGO ON ONE OF THESE GREAT UPDATES, WOULD
25 INVITE YOU TO GIVE A GLOWING REPORT OF THE PROGRESS

BARRISTERS' REPORTING SERVICE

1 THAT WE ARE MAKING ON THE SCIENTIFIC FRONTIER,
2 BECAUSE THIS IS, AFTER ALL, THE MEAT AND POTATOES OF
3 WHAT CIRM WAS SET UP TO DO. SO WE WOULD BE
4 DELIGHTED IF YOU TOOK FROM THIS THAT THINGS ARE
5 GOING VERY WELL ON A WIDE RANGE OF FRONTS AND SO
6 REPORTED TO YOUR MANY READERS. SO THANK YOU.

7 ANYWAY, ELLEN AND PAT, THANKS VERY MUCH.
8 WHAT WE'RE GOING TO DO NOW IS EVERYBODY IS GOING TO,
9 IF YOU WOULD PLEASE, GO GET YOUR LUNCH AND BRING IT
10 BACK INTO THE ROOM. WE'RE GOING TO SEGUE INTO THE
11 NEXT AGENDA ITEM, WHICH ACTUALLY FOLLOWS NICELY ON
12 THE PRESENTATIONS JUST MADE BY DRS. FEIGAL AND
13 OLSON. SO IF YOU COULD NOW TAKE A FEW-MINUTE BREAK,
14 GO GET YOUR LUNCH, AND PLEASE BRING IT BACK HERE,
15 AND WE'LL RECONVENE IN TEN MINUTES OR SO. THANK
16 YOU.

17 (A RECESS WAS TAKEN.)

18 CHAIRMAN THOMAS: COULD EVERYBODY PLEASE
19 TAKE YOUR SEATS. SO WE'RE GOING TO MOVE ON NOW TO
20 THE NEXT ITEM ON THE AGENDA, WHICH FOLLOWS NATURALLY
21 FROM THE PRESENTATION WE JUST HAD.

22 THE IOM REPORT IN PART SUGGESTED THAT DR.
23 TROUNSON CONVENE A SCIENTIFIC ADVISORY BOARD
24 COMPRISED OF WORLD EXPERTS IN THE SPACE TO MEET WITH
25 HIM AND OTHERS AT CIRM TO DEAL WITH THE QUESTION:

BARRISTERS' REPORTING SERVICE

1 WHAT SHOULD WE DO WITH THE \$600 MILLION THAT WE HAVE
2 REMAINING ON THE ASSUMPTION THAT WE'RE NOT GOING TO
3 GET ADDITIONAL FUNDING, WHICH FOR PURPOSES OF THIS
4 DISCUSSION WE'LL JUST SAY IS THE CASE. WE ALL KNOW
5 WE'RE LOOKING AT A VARIETY OF THINGS; BUT WITH
6 RESPECT TO THIS, WE HAVE 600 MILLION LEFT.

7 SO ALAN CONVENE THIS GROUP. THEY MET AND
8 HAVE COME UP WITH RECOMMENDATIONS TO ALAN AND TO THE
9 BOARD. AND WE'RE GOING TO HEAR FROM DR. FEIGAL ON
10 WHAT THOSE RECOMMENDATIONS ARE. SHE'LL DESCRIBE IN
11 MORE DETAIL THE PROCESS. AND DR. FEIGAL WILL TELL
12 US A BIT MORE ABOUT THE PROCESS AND ABOUT THEIR
13 RECOMMENDATIONS, AND WHAT WE HOPE THIS WILL DO IS TO
14 SPUR THE BEGINNING OF A DISCUSSION ON STRATEGIC
15 DIRECTION THAT WE WILL BE TAKING UP IN GREATER
16 DETAIL IN OUR DECEMBER RETREAT.

17 ONE OF THE IDEAS TODAY IS TO FORMULATE
18 QUESTIONS FOR STAFF AS TO WHAT WE WOULD LIKE TO HEAR
19 OR SEE IN ADVANCE OF THE DECEMBER MEETING AND TO USE
20 THIS DISCUSSION AS A SPRINGBOARD TO THAT. SO WITH
21 ALL OF THAT IN MIND, LET ME NOW TURN IT OVER TO DR.
22 FEIGAL FOR HER PRESENTATION ON THIS MATTER.

23 DR. FEIGAL: THANK YOU VERY MUCH. SO LET
24 ME JUST FIRST ADD THIS IS HOT OFF THE PRESS. WE
25 JUST RECEIVED THE FINAL REPORT ON MONDAY. AND PART

BARRISTERS' REPORTING SERVICE

1 OF THE REASON WHY I TITLED IT "PRELIMINARY
2 MANAGEMENT RESPONSE" IS WE MAY WANT TO HAVE MORE
3 TIME TO DIGEST AND GO OVER THE ISSUES. BUT I THINK
4 THE SCOPE OF WHAT WE'VE COME UP WITH IS ALSO
5 SOMETHING THAT WILL PROBABLY CONTINUE. BUT AT ANY
6 RATE, I JUST WANTED TO LET YOU KNOW THE TIMING HAS
7 BEEN RELATIVELY RECENT, BUT WE THOUGHT IT WAS VERY
8 IMPORTANT TO SHARE IT WITH THE BOARD SO THAT WE
9 COULD BE ON TRACK FOR THE DECEMBER WORKSHOP.

10 SO THE PURPOSE OF THE SCIENTIFIC ADVISORY
11 BOARD REVIEW WAS THAT IT WAS REALLY ESTABLISHED IN
12 RESPONSE TO A 2012 RECOMMENDATION OF THE INSTITUTE
13 OF MEDICINE PANEL THAT WAS CHARGED BY CIRM WITH
14 REVIEWING THE INSTITUTE'S OPERATIONS. AND THIS
15 13-MEMBER IOM PANEL, WHICH WERE MADE UP OF EXPERTS
16 IN STEM CELL RESEARCH, BUSINESS AND FINANCE, LAW,
17 AND BIOETHICS, AND RESEARCH ADMINISTRATION, PRODUCED
18 A SET OF RECOMMENDATIONS AIMED AT ENSURING THAT ALL
19 ASPECTS OF CIRM'S OPERATIONS ARE FUNCTIONING AT PEAK
20 PERFORMANCE.

21 ONE OF THE RECOMMENDATIONS FROM THE IOM
22 PANEL WAS FOR CIRM TO ESTABLISH AN EXTERNAL
23 SCIENTIFIC ADVISORY BOARD MADE UP OF EXPERTS IN THE
24 SCIENTIFIC, CLINICAL, ETHICAL, INDUSTRY, AND
25 REGULATORY ASPECTS OF STEM CELL BIOLOGY, TO BE

BARRISTERS' REPORTING SERVICE

1 APPOINTED BY AND REPORT TO THE PRESIDENT.

2 THE INSTITUTE OF MEDICINE PANEL BELIEVED
3 THAT A SINGLE SCIENTIFIC ADVISORY BOARD, AS OPPOSED
4 TO MULTIPLE ADVISORY BOARDS, WOULD BE BEST
5 POSITIONED TO PROVIDE INTEGRATED ADVICE TO THE
6 PRESIDENT ON STRATEGIC PRIORITIES FOR FUTURE
7 SOLICITATIONS FOR FUNDING INNOVATIVE PROJECTS AND
8 THE RESEARCH PORTFOLIO.

9 MARIA SENT ALL OF YOU A WORD DOCUMENT THAT
10 SUMMARIZES THE BACKGROUND FOR EACH OF THESE
11 DIFFERENT SCIENTIFIC ADVISORY BOARD MEMBERS. SO I
12 DON'T THINK I'M GOING TO GO OVER ANYTHING OTHER THAN
13 THEIR AFFILIATION RIGHT HERE, BUT YOU HAVE THE FULL
14 DETAILS ABOUT THEIR AREAS OF EXPERTISE AND
15 EXPERIENCE. IT WAS A VERY DISTINGUISHED GROUP. ALL
16 BUT ONE WERE EX-CALIFORNIA. THEY DID REPRESENT
17 DIFFERENT FACETS OF EXPERIENCE FROM BASIC BIOLOGY TO
18 INDUSTRY TO CLINICAL TRIALS. SO THERE WAS A BROAD
19 REPRESENTATION ACROSS THESE DIFFERENT AREAS, SO I
20 THINK, IN THE SPIRIT OF THE IOM PANEL, THE
21 COMPOSITION REALLY DID MEET THAT, AND THEY WERE
22 PRIMARILY OUTSIDE OF CALIFORNIA.

23 SIR JOHN BELL FROM OXFORD CHAIRED THIS
24 FIRST MEETING. AND I JUST WANT TO GIVE A CAVEAT.
25 THIS IS GOING TO BE A BOARD THAT MEETS WITH US ON A

BARRISTERS' REPORTING SERVICE

1 REGULAR BASIS. SO IF ALL THE QUESTIONS WEREN'T
2 ANSWERED OR THERE ARE ADDITIONAL QUESTIONS WE WANT
3 TO POSE, THERE'S GOING TO BE OTHER OPPORTUNITIES TO
4 INTERACT WITH THIS BOARD. SO TAKE IT AS THIS WAS A
5 SNAPSHOT IN TIME WITH THE BACKGROUND AND THE
6 INFORMATION THEY WERE GIVEN REGARDING THE ADVICE
7 THEY PROVIDED.

8 SO SIR JOHN BELL IS FROM OXFORD UNIVERSITY
9 IN THE UK; COREY GOODMAN HEADS UP VENBIO CORPORATION
10 HERE IN CALIFORNIA; DR. MARIA GRAZIA RONCAROLO FROM
11 THE HOSPITAL SAN RAFFAELE IN ITALY. SHE WAS THE
12 ONLY ONE OF THE SCIENTIFIC ADVISORY MEMBERS WHO WAS
13 UNABLE TO ATTEND OR PARTICIPATE. THE OTHERS
14 INCLUDED DR. SEAN MORRISON FROM THE CHILDREN'S
15 RESEARCH INSTITUTE AT UT SOUTHWESTERN; DR. CHRISTINE
16 MUMMERY FROM LEIDEN UNIVERSITY MEDICAL CENTER IN THE
17 NETHERLANDS; DR. STUART ORKIN FROM THE HARVARD
18 MEDICAL SCHOOL, DANA FARBER CANCER INSTITUTE; DR.
19 FIONA WATT FROM THE CENTRE FOR STEM CELLS AND
20 REGENERATIVE MEDICINE AT KINGS COLLEGE IN THE UK;
21 AND DR. JOHN WAGNER FROM THE UNIVERSITY OF MINNESOTA
22 STEM CELL INSTITUTE.

23 AND AS I MENTIONED, THIS WAS THE FIRST
24 MEETING, THE FIRST CONVENING OF THE SCIENTIFIC
25 ADVISORY BOARD. AND THE PLAN WOULD BE THAT THERE

BARRISTERS' REPORTING SERVICE

1 MAY BE THREE TO FOUR MEETINGS PER YEAR WITH AT LEAST
2 ONE OF THOSE SESSIONS TO BE DONE IN PERSON.

3 THE MEETING AGENDA AND PROCESS, IT WAS THE
4 CIRM PRESIDENT SELECTED THE DIFFERENT MEMBERS OF THE
5 SAB WITH SOME INPUTS FROM SCIENTIFIC STAFF, FROM
6 OTHER MEMBERS, OR OTHER EXTERNAL INPUTS HE MAY HAVE
7 HAD, AND CONVENED THE SCIENTIFIC ADVISORY BOARD ON
8 AUGUST 23D.

9 THEY WERE ASKED A SERIES OF QUESTIONS
10 BEFORE THE MEETING. TWO OF THEM WERE VERY HIGH
11 LEVEL AND THE REMAINDER WERE MORE TARGETED-TYPE
12 QUESTIONS. THE HIGH LEVEL QUESTIONS RELATED TO
13 CIRM'S STRATEGY DURING ITS NEXT CYCLES OF FUNDING.
14 I THINK IT WOULD SAY THAT IT WAS ALSO, AT LEAST FOR
15 SOME OF THE PEOPLE THERE, A THOUGHT ABOUT HOW TO
16 OPTIMIZE OR MAXIMIZE WHERE WE INVEST OUR MONEY SO
17 THAT THERE WOULD BE THE POTENTIAL OF SOME
18 SUSTAINABILITY. SO I DO JUST WANT TO CLARIFY IT'S
19 NOT NECESSARILY HOW TO CLOSE OUT THE SHOP, BUT IT
20 WAS ABOUT WHERE WE COULD DO OUR INVESTMENTS TO SEE
21 IF THERE WAS A POTENTIAL FOR SUSTAINABILITY AS WELL.
22 AT LEAST SOME OF THE PEOPLE MAY HAVE COME AWAY WITH
23 THAT THOUGHT.

24 SO HERE ARE THE QUESTIONS. CIRM IS
25 COMPLETING THE ALLOCATION OF FUNDS PROVIDED BY THE

BARRISTERS' REPORTING SERVICE

1 CALIFORNIA BOND INITIATIVE AND SEEKS ADVICE ON THE
2 BEST USE OF THE REMAINING FUNDS FROM THIS CYCLE OF
3 FUNDING. HOW CAN WE BEST MAXIMIZE THE IMPACT OF
4 CIRM IN REGENERATIVE MEDICINE WITH THESE FUNDS,
5 WHICH AT THIS TIME IS APPROPRIATELY \$600 MILLION TO
6 BE ALLOCATED IN PROJECTS TO BE COMPLETED BY
7 APPROXIMATELY 2021? AND WHAT UNIQUE PRIORITIES DOES
8 THE SCIENTIFIC ADVISORY BOARD RECOMMEND FOR CIRM FOR
9 THE NEXT FOUR YEARS CONSISTENT WITH THE GOALS AND
10 OBJECTIVES OF THE 2012 STRATEGIC PLAN?

11 ON THIS DATE WE HAD A ONE-DAY MEETING, AND
12 IT INCLUDED CIRM SENIOR MANAGEMENT IN ADDITION TO
13 ALL THE SAB MEMBERS AND THE CIRM LEADERSHIP, AND
14 THERE WAS ALSO A CLOSED SESSION OF THE SCIENTIFIC
15 ADVISORY BOARD TO DRAW UP A SET OF RECOMMENDATIONS.
16 THEY ALSO REQUESTED A CLOSED SESSION, A ONE-HOUR
17 TELECONFERENCE, WITH CIRM GRANTEES. AND DR.
18 TROUNSON ARRANGED FOR DRS. WEISSMAN, RUSTY GAGE,
19 OWEN WITTE, AND LARRY GOLDSTEIN TO BE AVAILABLE FOR
20 THIS ONE-HOUR TELECONFERENCE.

21 AND PRIOR TO THE MEETING THE ADVISORY
22 BOARD WAS PROVIDED WITH A DOCUMENT SUMMARIZING OUR
23 2012 STRATEGIC PLAN UPDATE, OUR SCIENTIFIC PROGRAMS,
24 OUR COLLABORATIVE FUNDING PROGRAM, OUR INDUSTRY
25 ENGAGEMENT, AND OTHER ANCILLARY INFORMATION. THINK

BARRISTERS' REPORTING SERVICE

1 OF IT AS AN UPDATE TO THE TYPES OF INFORMATION WE
2 HAD AROUND THE TIME OF THE 2012 STRATEGIC PLAN. SO
3 85 TO A HUNDRED PAGES OF INFORMATION THAT THE
4 ADVISORY BOARD WAS ASKED TO LOOK AT IN ADVANCE OF
5 THE ACTUAL MEETING.

6 SO TO GET TO THE BOTTOM LINE, THEY HAD
7 REALLY ONE MAIN RECOMMENDATION AND THEN SOME
8 ADDITIONAL RECOMMENDATIONS THAT SEEMED TO BE
9 SECONDARY TO THEIR MAIN RECOMMENDATION. AND THE
10 MAIN RECOMMENDATION SEEMED TO FOCUS ON WHAT'S A
11 TANGIBLE GOAL THAT, ONE, WOULD BEGIN TO ADDRESS THE
12 EXPECTATIONS OF THE CITIZENS OF CALIFORNIA WHO VOTED
13 THIS AGENCY INTO EXISTENCE IN THE FIRST PLACE, AND
14 WHAT WOULD BE A TANGIBLE GOAL THAT WOULD BE OF
15 INTEREST TO POTENTIAL RESEARCHERS AND INVESTORS SO
16 THAT THERE MIGHT BE A PATH FORWARD FOR
17 SUSTAINABILITY?

18 SO WITH THOSE AS THE BACKGROUND THOUGHTS,
19 THEY ADVISED CIRM TO IDENTIFY, THROUGH SOME TYPE OF
20 A PRIORITIZATION PROCESS WHICH THEY WERE TRYING TO
21 FIT IN THE FOCUS SPACE OF WHERE WE ARE RIGHT NOW
22 WITH THE STRATEGIC PLAN, THE TOP SIX TO EIGHT
23 PROJECTS WITH A CLEAR RELEVANCE TO THE REMIT OF
24 CIRM'S STEM CELL MISSION AND TO MAKE SURE THAT WE
25 SET ASIDE THE FUNDING TO ENSURE THAT THOSE TOP

BARRISTERS' REPORTING SERVICE

1 PRIORITIZED PROJECTS WILL HAVE THE DOLLARS TO
2 PROCEED TO THE TYPES OF CLINICAL TRIALS THAT ARE
3 DESIGNED TO ADDRESS WHETHER OR NOT THEY CAN SHOW
4 DATA SUPPORTING CLINICAL PROOF OF CONCEPT AND THAT
5 WE WORK IN A WAY TO RAPIDLY -- AS RAPIDLY AS
6 POSSIBLE, STILL ENSURING HIGH QUALITY WITHOUT
7 FINANCIAL IMPEDIMENTS, A WAY FOR THOSE PROJECTS TO
8 GO FORWARD.

9 AS I STATED, ACHIEVING CLINICAL PROOF OF
10 CONCEPT IS A KEY GOAL THAT THEY FELT WAS IMPORTANT
11 TO ACHIEVE TO, ONE, ADDRESS WHAT WE SAID BEFORE
12 ABOUT THE CITIZENS OF CALIFORNIA WHO VOTED THIS IN
13 THE FIRST AND PLACE AND TO ATTRACT FUTURE POTENTIAL
14 SUPPORTERS OF STEM CELL RESEARCH. AND ALSO THEY
15 THOUGHT IT HAD A STRONG CHANCE OF SUCCESS AS LONG AS
16 CIRM ADVANCES THE MOST PROMISING CLINICAL CANDIDATES
17 AT WHAT THEY CALLED "AT SPEED" AND THAT WOULD
18 REQUIRE CAREFUL ASSESSMENT AND PRIORITIZATION OF THE
19 PORTFOLIO.

20 THE WAY I'M GOING TO PRESENT THIS IS I'M
21 GOING TO HAVE THEIR RECOMMENDATION AND OUR
22 PRELIMINARY MANAGEMENT RESPONSE JUST SO YOU CAN HEAR
23 WHAT OUR INITIAL THOUGHTS ARE SO YOU CAN TAKE THAT
24 INTO ACCOUNT AS YOU HAVE YOUR DISCUSSION.

25 WE ONLY HAD TWO DAYS TO REALLY VET THIS

BARRISTERS' REPORTING SERVICE

1 INTERNALLY, BUT IT'S ACTUALLY A RATHER SHORT REPORT
2 BECAUSE THEY STAYED PRETTY FOCUSED ON WHAT THEY
3 WANTED TO SAY TO US.

4 SO OUR PRELIMINARY MANAGEMENT RESPONSE WAS
5 THAT WE'RE ACTUALLY IN CONCURRENCE WITH THE NEED TO
6 FOCUS AND PRIORITIZE. WE HAVE CERTAINLY COME TO THE
7 REALIZATION THAT WE'RE NOT GOING TO TAKE 70 PROJECTS
8 FORWARD, AND WE'RE NOT COMPLETELY SATISFIED WITH
9 JUST LETTING ATTRITION DETERMINE WHICH ONES GO
10 FORWARD. IT'S MORE OF A SENSE OF PROACTIVELY
11 SELECTING WHAT YOU WANT TO TAKE FORWARD AS OPPOSED
12 TO, WELL, IF IT DOESN'T FAIL, YOU WILL CONTINUE TO
13 TAKE IT FORWARD.

14 SO OUR PRELIMINARY RESPONSE, AND I DID
15 DISCUSS THIS WITH THE PRESIDENT ALAN TROUNSON AS
16 WELL AS WITH OUR SENIOR MANAGEMENT TEAM, IS THAT WE
17 ACCEPT THIS RECOMMENDATION. WE APPRECIATE THE
18 RATIONALE BEHIND IT, AND THAT THIS WOULD REQUIRE THE
19 NEED TO IDENTIFY A PROCESS FOR SELECTION OF THESE
20 PROJECTS THAT WOULD INCLUDE REPRESENTATIVES FROM
21 EXTERNAL, SO FROM THE GRANT REVIEW GROUP, FROM CDAP,
22 FROM OTHER EXTERNAL EXPERTISE AS NEEDED, AND THAT IT
23 WOULD ALSO REQUIRE A FORECASTING OF THE AMOUNT OF
24 FUNDING THAT WOULD NEED TO BE SET ASIDE TO MAKE THIS
25 HAPPEN. AND THAT RECOMMENDATIONS WOULD ALSO NEED TO

BARRISTERS' REPORTING SERVICE

1 BE DEVELOPED FOR THIS PRIORITY GROUP OF PROJECTS AS
2 TO WHERE EXPERTISE AND THE APPROACH NEEDED WOULD
3 HAVE TO BE MODIFIED TO MAXIMIZE THE POTENTIAL AND TO
4 ENSURE RAPID AND EFFECTIVE PROGRESS. AND THAT
5 SEPARATELY WE WOULD HAVE TO THINK THROUGH WHAT THAT
6 PROCESS WOULD LOOK LIKE AND, OF COURSE, PRESENT THIS
7 TO THE ICOC IN TERMS OF YOUR PERSPECTIVES AND
8 THOUGHTS ON THIS.

9 DR. STEWARD: JUST A QUICK QUESTION. WAS
10 THERE ANY JUST EVEN PRELIMINARY DISCUSSION ABOUT
11 WHAT THE CRITERIA MIGHT BE? I CAN IMAGINE A NUMBER
12 OF THINGS, LIKE CLOSEST TO THE CLINIC AFFECTS THE
13 LARGEST NUMBER OF PEOPLE, WHATEVER. I'M JUST
14 CURIOUS WHETHER THERE WAS ANY DISCUSSION AT ALL.

15 DR. FEIGAL: WE DID BECAUSE, AS PART OF MY
16 PRESENTATION TO THE BOARD, I BROUGHT IN SOME OF THE
17 RECOMMENDATIONS FROM THE TRANSLATIONAL MEETING THAT
18 WE HAD HELD ONE MONTH EARLIER. SO THEY WERE VERY
19 SUPPORTIVE AND AGREED WITH UNDERSTANDING THE
20 MECHANISM OF ACTION, ABOUT IT WOULD BE EXTREMELY
21 HELPFUL GIVEN YOU WANT A NEAR TERM READ IF THERE WAS
22 A BIOMARKER TO EVALUATE. THEY DIDN'T OPINE THAT
23 MUCH ON THE SPECIFIC CELL PLATFORM, BUT THEY SAID IT
24 DEFINITELY HAD TO HAVE A RELEVANCE TO CIRM'S MISSION
25 AND THE STEM CELL CONNECTION.

BARRISTERS' REPORTING SERVICE

1 SO WE DIDN'T HAVE A LENGTHY DISCUSSION,
2 BUT IT WAS MORE THOSE ARE THE HIGHER POINTS OF THE
3 TYPES OF THINGS. SO THEY DIDN'T SET THE CRITERIA.
4 THEY PUT THE ONUS ON THE INSTITUTE AND OTHER
5 ADVISORS TO FIGURE OUT WHAT THAT WOULD BE, BUT THEY
6 WERE -- I CAN SAY THEY WERE IMPRESSED BY WHAT WE
7 HAVE IN OUR PORTFOLIO. THEY WERE VERY POSITIVE
8 ABOUT HOW WE MANAGE THINGS AND HOW WE KEEP CLOSE
9 WATCH ON THINGS. AND THEY THOUGHT THE TRACK RECORD,
10 TO THEM, WAS VERY POSITIVE FOR WE CERTAINLY COULD
11 HAVE THE POTENTIAL TO REACH THIS IF WE PLAY OUR
12 CARDS RIGHT IN TERMS OF WHERE WE INVEST.

13 CHAIRMAN THOMAS: ELLEN, IN TERMS OF YOU
14 AND ALAN AND OTHERS TALKING ABOUT YOUR RESPONSE ON
15 HERE, WHAT SORT OF TIMETABLE WOULD YOU ENVISION FOR
16 THE VARIOUS STEPS, AND AT WHAT POINT DO YOU THINK
17 YOU'D BE PREPARED TO REPORT BACK TO THE ICOC ON THE
18 PROCESS?

19 DR. FEIGAL: I THINK WHAT WE WOULD
20 PROBABLY DO IS THINK ABOUT THIS IN THE TWO MONTHS
21 BETWEEN NOW AND DECEMBER TO REPORT BACK TO YOU ON
22 WHAT A PROPOSED PROCESS COULD LOOK LIKE. AND THEN
23 IF THERE'S AGREEMENT ON THE PROCESS, THEN WE COULD
24 IMPLEMENT THAT. SO WE COULD RUN BY YOU WHAT WE
25 THINK COULD BE, NOT JUST HIGH QUALITY, BUT ALSO

BARRISTERS' REPORTING SERVICE

1 PRAGMATIC HOW TO PLOW THROUGH THESE DIFFERENT ITEMS.
2 AND THEN, OF COURSE, IT WOULD TAKE TIME TO ACTUALLY
3 DO THAT PRIORITIZATION. BUT WHAT I WOULD SUGGEST IS
4 COMING BACK IN DECEMBER WITH A PLAN FOR WHAT THAT
5 PROCESS COULD LOOK LIKE.

6 CHAIRMAN THOMAS: AND WE'VE TALKED ABOUT
7 THE FOLLOWING QUESTION IN OUR EXECUTIVE COMMITTEE
8 MEETING. FOR PURPOSES OF THE OTHER BOARD MEMBERS,
9 IN MAKING THIS RECOMMENDATION TO HIGHLIGHT THE SIX
10 TO EIGHT PROJECTS AND TO SET ASIDE FUNDING TO GET
11 THEM THROUGH TO PROOF OF CONCEPT, WAS THERE ANY
12 NUMBER DISCUSSED WITH RESPECT TO HOW MUCH THEY
13 RECOMMENDED PUTTING ASIDE FOR THAT, OR ARE THEY
14 LEAVING THAT ENTIRELY TO OUR EVALUATION AND
15 DISCRETION?

16 DR. FEIGAL: THEY LEFT THAT TO OUR
17 EVALUATION AND DISCRETION BECAUSE THEY DON'T KNOW
18 ENOUGH ABOUT THE DETAILS OF EACH OF THESE PROJECTS
19 NOR ABOUT THE BUDGET THAT IT WOULD REQUIRE. SO PART
20 OF OUR COMING BACK TO YOU WITH A PROCESS IS ALSO
21 THINKING THROUGH THE PROJECTS THAT AT LEAST WE KNOW
22 ABOUT RIGHT NOW, SOME SORT OF BUDGET FORECASTING OF
23 WHAT IT WOULD ACTUALLY TAKE TO TAKE IT THROUGH EARLY
24 PHASE II CLINICAL TRIAL. AND ALSO WE'D HAVE TO GO
25 THROUGH THE ASSUMPTIONS, IF THESE ARE LEVERAGED

BARRISTERS' REPORTING SERVICE

1 TRIALS, IF THESE ARE NONLEVERAGED FUNDED TRIALS, AND
2 SO WE'D PROBABLY HAVE TO HAVE A FEW SCENARIOS FOR
3 THAT, BUT THEY DIDN'T STATE WHERE THE MONEY WOULD
4 COME FROM OR WHAT THE QUANTITATIVE AMOUNT HAD TO BE,
5 BUT THEY JUST SAID YOU'RE THE ONE IN CHARGE OF
6 FIGURING THAT OUT AND THEN COME BACK AND TALK ABOUT
7 WHETHER OR NOT THERE'S A PRAGMATIC, HIGH QUALITY WAY
8 TO DO IT.

9 CHAIRMAN THOMAS: AND IN HIGHLIGHTING THE
10 SIX TO EIGHT, WHICHEVER PROJECTS THEY MAY BE, THEY
11 WERE NOT AT ALL SUGGESTING DEEMPHASIZING THE OTHER
12 PROJECTS OR LESSENING FUNDING FOR THOSE OTHER
13 PROJECTS THAT ARE CURRENTLY IN PROCESS. IT'S JUST
14 LIKE THIS IS AN EXTRA BOOST, IF YOU WILL, TO
15 PARTICULAR PROJECTS THAT WOULD BE DETERMINED BY YOUR
16 EVALUATION GROUP.

17 DR. FEIGAL: I WAS SEEING IT AS AN
18 EXPEDITED PATHWAY FOR, LIKE THE REGULATORY
19 AUTHORITIES HAVE WHAT THEY CALL EXPEDITED PATHWAYS
20 FOR HIGH PROFILE, THAT IT'S NOT ONLY THAT WE DO SOME
21 THINGS DIFFERENTLY THAN THE NORMAL WAY. WE, OF
22 COURSE, THINK WE TRY AND DO AN EXPEDITED PATHWAY,
23 BUT MAYBE THINK CREATIVELY ABOUT HOW WE COULD DO
24 THINGS SO THAT WE'RE EFFICIENTLY AND EFFECTIVELY
25 MOVING THINGS THROUGH IN AS APPROPRIATE RAPID WAY AS

BARRISTERS' REPORTING SERVICE

1 MANNER. SO I THINK OF IT AS AN ACCELERATED PATHWAY.

2 CHAIRMAN THOMAS: BUT THE POINT I WAS
3 MAKING WAS THIS ISN'T MEANT TO BE A DEEMPHASIS OF
4 THE OTHER PROJECTS THAT ARE IN PROGRESS. THEY WILL
5 CONTINUE APACE, CORRECT?

6 DR. FEIGAL: YEAH. WE NEED TO TALK ABOUT
7 THE BUDGET BECAUSE, DEPENDING ON WHERE THE MONEY
8 COMES FROM, DOES IT COME FROM THE CURRENT
9 DEVELOPMENT BIN AND HOW IS THAT AFFECTED, BECAUSE I
10 MUST SAY OUR PURSE IS FINITE. AND SO WE JUST NEED
11 TO FIGURE OUT IF WE NEED TO PUT EXTRA MONEY HERE,
12 THEN THAT MEANS IT COULD HAVE SOME IMPLICATIONS FOR
13 SOME OTHER THINGS THAT WE DO. BUT, NO, THEY WEREN'T
14 SUGGESTING DEFUNDING OR TERMINATING THINGS, BUT THEY
15 DO SAY WE HAVE TO FOCUS AND PRIORITIZE. AND SO THAT
16 MAY HAVE SOME DOWNSTREAM IMPLICATIONS.

17 DR. KRONIRIS: MIGHT THAT BE ONE OF THE
18 THINGS THAT YOU WOULD WANT TO GO BACK TO THEM
19 SPECIFICALLY TO ASK? THAT CERTAINLY MIGHT BE ONE OF
20 THE THINGS THAT YOU WOULD SPECIFICALLY WANT TO ASK
21 THEM ON A RETURN TO THE CONVERSATION WITH THEM. I
22 WOULD THINK ESPECIALLY WHAT DOES EXPEDITED PATHWAY
23 MEAN? IT USUALLY MEANS RESOURCE REGENERATION AND
24 REDIRECTION. SO IF THAT'S IMPORTANT, I THINK IT'S
25 SOMETHING THEY SHOULD SPECIFICALLY ADDRESS.

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: WELL, HAVE FUNDING FOR
2 DEVELOPMENT PROGRAMS FOR EARLY TRANSLATION PROGRAMS.
3 IT COULD ALSO BE A REDIRECTION OF FUNDING THAT'S
4 ALREADY PUT IN THOSE BINS. SO IT COULD BE WE HAVE
5 FUTURE SOLICITATIONS OF X, Y, AND Z. SO THERE'S
6 WAYS THAT WE COULD THINK CREATIVELY ABOUT HOW WE
7 COULD DO THAT. THEY DON'T KNOW ALL THE VAGARIES OF
8 HOW WE OPERATE. SO I THINK THEY TOLD US WHAT THEY
9 THOUGHT MORE STRATEGICALLY WE NEEDED TO DO. AND I
10 THINK OPERATIONALLY WE NEED TO THINK ABOUT HOW WE
11 CAN PRAGMATICALLY MAKE THAT HAPPEN.

12 BUT CERTAINLY THIS IS GOING TO BE AN
13 ONGOING DIALOGUE WITH THE BOARD. WE DO PLAN TO MEET
14 WITH THEM AGAIN. SO WE REALLY ASKED THEM SOME
15 PRETTY HIGH LEVEL STRATEGIC ADVICE.

16 I DO HAVE QUITE A FEW OTHER
17 RECOMMENDATIONS TO GO THROUGH, SO I JUST WANTED YOU
18 TO KNOW THAT TOO.

19 MR. SHEEHY: AND THIS MIGHT NOT BE THE
20 RIGHT PLACE, BUT SINCE WE OPENED IT UP. SO JUST
21 REALLY DEALING WITH THE VERY SPECIFIC EXAMPLE AND
22 NOT KNOWING IF THIS WOULD BE ONE THAT YOU
23 PRIORITIZE, BUT IT WOULD SEEM TO ME THAT IF WE ARE
24 GOING TO, SO TO SPEAK, FAST TRACK OR MOVE THESE
25 THINGS ALONG WITH SPEED, THROW MONEY AT THEM, IS

BARRISTERS' REPORTING SERVICE

1 THERE SOME THOUGHT TO A PROCESS THAT ALSO STARTS TO
2 LEVERAGE OTHER PARTICIPANTS IN THE SAME KIND OF
3 ARENA? LET'S THINK ABOUT, FOR INSTANCE, JUST AS AN
4 EXAMPLE, WE HAVE THE ZAIA/SANGAMO PROJECT THAT'S
5 PROBABLY GOING INTO CLINICAL TRIAL IN '14, RIGHT.
6 WE'RE ALSO FUNDING SANGAMO WITH THE SAME TECHNOLOGY,
7 SAME HEMATOPOIETIC STEM CELLS, GOING INTO BETA
8 THALASSEMIA, I THINK -- OR WAS THAT SICKLE CELL? --
9 BETA THALASSEMIA. THE NIH IS ALSO FUNDING SANGAMO,
10 VERY SIMILAR APPROACH, DISEASE TEAM. WE TALKED
11 ABOUT THAT AT THE FORUM.

12 SEEMS LIKE THAT ALL THOSE PIECES SHOULD BE
13 AS PART OF THE DISCUSSION OF FAST TRACKING THESE
14 THINGS. THERE SHOULD ALSO BE A VERY SERIOUS LOOK AT
15 THIS MORE LARGER LANDSCAPE AND CRAMMING THIS STUFF
16 TOGETHER. WE SHOULDN'T BE PAYING -- WE SHOULD BE
17 COORDINATED WITH BOTH SANGAMO AND WITH, IF THIS WERE
18 TO BE A PROJECT, AND JUST USING THIS AS AN EXAMPLE,
19 AND WITH THE NIH IF THIS WAS A PROJECT, THAT WE WERE
20 GOING TO START THROWING MONEY AT BECAUSE WE WOULD
21 PRESUMABLY HAVE THE EASIEST AND MOST ACCESSIBLE
22 PURSE BECAUSE I'M ASSUMING YOU'RE TALKING ABOUT
23 EXPEDITED APPROVAL PROCESSES AND REALLY TRYING TO
24 GET THESE ACROSS THE FINISH LINE. IT SEEMS LIKE
25 THERE OUGHT TO BE SOME THOUGHT, DO WE HAVE THE

BARRISTERS' REPORTING SERVICE

1 CAPABILITY, DO WE HAVE THE STAFFING TO ACTUALLY
2 MANAGE DOING THESE KINDS OF REALLY KIND OF
3 SOPHISTICATED, HITTING PEOPLE WITH OUR PURSE AND
4 JAMMING THEM TOGETHER BECAUSE WE'VE GOT THE BIGGEST
5 PURSE, THE BIGGEST BOLUS OF MONEY IN THIS.

6 DOES THAT MAKE SENSE? IS THAT BEING --
7 WHEN YOU'RE STARTING TO LOOK AND CONCEPTUALIZE IT, I
8 THINK IT MAY NOT BE TRUE IN EVERY DISEASE, BUT I
9 THINK IN THIS INSTANCE THAT THAT WOULD BE AN
10 IMPORTANT COMPONENT IF THIS IS REALLY TALKING ABOUT
11 GETTING ACROSS THE FINISH LINE FAST.

12 MS. LANSING: WE DIDN'T TALK AND I WAS
13 WAITING TO RAISE MY HAND TO SAY IT SEEMS TO ME WHEN
14 YOU HAVE LIMITED TIME AND WE DO HAVE LIMITED MONEY,
15 THAT THE BEST THING ANYONE CAN DO TO STRETCH THEIR
16 DOLLARS IS TO LOOK FOR PARTNERSHIPS. THE SCIENCE
17 ALWAYS HAS TO COME FIRST, SO WE'RE NOT GOING TO
18 PARTNER WHERE WE DON'T BELIEVE IN THE SCIENCE. BUT
19 IF WE ARE IN AN AREA WHERE WE THINK THERE'S REAL
20 HOPE IN ANY DISEASE AND THE SCIENCE IS SPEAKING BACK
21 TO US AND THERE ARE CLINICAL TRIALS ALREADY BEING
22 DONE, I'LL TAKE CANCER WHERE THERE'S CLINICAL TRIALS
23 BEING DONE IN SO MANY DIFFERENT AREAS. IF WE CAN
24 ADD TO THAT, WE CAN HELP THE CLINICAL TRIAL MOVE
25 THROUGH FASTER. AND ALSO TO ME THAT'S WHAT I WOULD

BARRISTERS' REPORTING SERVICE

1 DESCRIBE AS LOW HANGING FRUIT, AND WE'RE TRYING
2 DESPERATELY TO GET A WIN SO THAT WHEN WE GO BACK TO
3 THE PUBLIC, WE HAVE SOMETHING TO SHOW.

4 AGAIN, THIS I DEFER TOTALLY TO YOU. THE
5 SCIENCE HAS TO COME FIRST, BUT THERE ARE IN ALMOST
6 ALL DISEASES, CERTAINLY IN CANCER, SO MANY CLINICAL
7 TRIALS GOING THROUGH THAT ARE GOING THROUGH FAR
8 SLOWER BECAUSE THERE IS LIMITED MONEY IN EVERY
9 DISEASE.

10 DR. FEIGAL: I THINK IT'S A GREAT IDEA.
11 I'M PRETTY FAMILIAR, HAVING WORKED IN OTHER AGENCIES
12 WHERE MAYBE THEY DIDN'T THINK OF IT THEMSELVES, BUT
13 WE PUT COLLABORATORS TOGETHER TO TRY AND SEE IF WE
14 COULD REALLY MAXIMIZE AND BE MORE ALSO EFFICIENT
15 ABOUT HOW WE'RE ADDRESSING SOME OF THE QUESTIONS.
16 SO THAT MIGHT COME IN IN TERMS OF THE ACTUAL
17 PROJECTS THEMSELVES, HOW WE WOULD DO THAT.

18 MS. LANSING: THAT COULD BE AN RFA
19 ACTUALLY. YOU COULD ACTUALLY LOOK AT WHAT'S OUT
20 THERE, ASK PEOPLE WHAT'S OUT THERE, AND SAY DO YOU
21 NEED HELP BECAUSE EVERYONE NEEDS HELP NOW. GOD
22 KNOWS. INCLUDING THE NCI TOO.

23 DR. FEIGAL: THANK YOU. ARE THERE OTHER
24 QUESTIONS ABOUT THIS POINT?

25 SO LET ME GO INTO SOME OF THE ADDITIONAL.

BARRISTERS' REPORTING SERVICE

1 THERE WERE SEVERAL OTHER QUESTIONS THAT WERE POSED
2 TO THE SAB AND WHAT THEIR THOUGHTS WERE ON THIS
3 TOPIC AND THEN JUST OUR PRELIMINARY RESPONSE TO IT.
4 AND I SHOULD ADD JUST BECAUSE WE JUST GOT THIS TWO
5 DAYS AGO, WHAT WE'LL DO IS WE'LL OBVIOUSLY SHARE THE
6 DOCUMENT WITH YOU AFTER WE HAVE A CHANCE TO PUT IT
7 ALTOGETHER AND SHARE THAT WITH YOU. SO YOU WILL GET
8 IT WELL IN ADVANCE OF THE DECEMBER WORKSHOP.

9 THEN THERE WERE MORE SPECIFIC QUESTIONS.
10 AND I WANT TO START OUT WITH THEY DIDN'T NECESSARILY
11 ANSWER ALL THE QUESTIONS. THERE WERE SOME OTHER
12 THINGS THEY WANTED TO ANSWER PERHAPS INSTEAD OF THE
13 ONES POSED TO THEM. SO NOT ALL OF THESE QUESTIONS
14 WERE DIRECTLY ANSWERED, BUT THAT'S WHY I WANTED TO
15 LET YOU KNOW. WE'RE GOING TO BE INTERACTING WITH
16 THEM ON A LONGITUDINAL BASIS. SO IF THEY'RE NOT
17 ANSWERED NOW, WE'RE GOING TO HAVE OTHER
18 OPPORTUNITIES TO TALK WITH THEM.

19 THE NEXT QUESTION WAS REALLY ABOUT OUR
20 TRAINING GRANTS AND SHARED LABORATORY FUNDING. WE
21 EXPLAINED TO THEM THAT THIS IS A WAY THAT WE BUILD
22 INFRASTRUCTURE AND FUTURE CAPACITY, BUT THAT THE
23 CURRENT PLAN, AS PER OUR STRATEGIC PLAN, IS THAT THE
24 CURRENT TRAINING GRANTS AND THE SHARED LABORATORY
25 FUNDING WOULD END IN THE NEXT FEW YEARS. HOWEVER,

BARRISTERS' REPORTING SERVICE

1 WE BROUGHT OUT, IN TALKING WITH CALIFORNIA
2 INSTITUTIONS AND WITH INVESTIGATORS IN THE FIELD,
3 THEY CERTAINLY HAVE A PARTICULAR PERSPECTIVE ON
4 THIS. AND THERE APPEARED TO BE STRONG SUPPORT FOR
5 BOTH OF THESE PROGRAMS FROM CALIFORNIA INSTITUTIONS.
6 AND SO WE ASKED THE SAB WHAT THEY THOUGHT, WHETHER
7 TO CONTINUE OR CEASE THESE PROGRAMS AND ADVISE ALSO
8 WHETHER THERE ARE PARTICULAR OPPORTUNITIES OR AREAS
9 OF UNMET NEED IN TRAINING THAT COULD BE ACCOMPLISHED
10 IN THE NEXT FOUR YEARS.

11 SO THE GIST OF WHAT THEY HAD TO SAY ABOUT
12 THIS IS THAT THEY ALL RECOMMENDED THAT WE SHOULD
13 CONTINUE FUNDING TRAINING PROGRAMS AT ALL LEVELS
14 BECAUSE IT WAS CRITICALLY IMPORTANT TO DEVELOP A
15 WORKFORCE OF TRAINED INDIVIDUALS, AND THAT THESE
16 INDIVIDUALS WOULD BE VERY VALUABLE AS THE CELL
17 THERAPY FIELD BURGEONED. AND THAT ON THE OTHER
18 HAND, THEY DID NOT SEE THE RATIONALE TO HAVE US
19 RECOMMEND CONTINUED FUNDING FOR THE 17 SHARED
20 LABORATORIES. THEY FELT AT THIS POINT IN TIME THESE
21 SHOULD OPERATE ON A REVENUE NEUTRAL BASIS; AND WHILE
22 THEY WERE ESSENTIAL AS A SAFE HAVEN DURING THE NIH
23 FUNDING BAN, THE IMPORTANCE AND THE PRIORITY OF
24 THESE RESOURCES TO CIRM'S MISSION AND ACHIEVING
25 SUSTAINABILITY OF EARLIER INVESTMENTS IS NOT AS

BARRISTERS' REPORTING SERVICE

1 COMPELLING.

2 CHAIRMAN THOMAS: ELLEN, WHAT'S THE DOLLAR
3 AMOUNT CONNECTED TO SHARED LABS AT THIS POINT?

4 DR. FEIGAL: I'D HAVE TO COME BACK TO YOU
5 UNLESS SOMEBODY KNOWS IT. THIS IS DR. MICHAEL YAFFE
6 WHO'S IN CHARGE OF THIS PROGRAM.

7 DR. YAFFE: OUR SUPPORT CURRENTLY IS
8 APPROXIMATELY SEVEN AND A HALF PER YEAR. YOU
9 REMEMBER WE DID AN EXTENSION ON THE SHARED LAB
10 PROGRAM THAT WAS ABOUT 23 MILLION FOR THREE
11 ADDITIONAL YEARS.

12 CHAIRMAN THOMAS: THANK YOU.

13 MR. SHEEHY: WHEN YOU TALK ABOUT TRAINING,
14 ARE YOU INCLUDING BRIDGES IN THAT BUCKET?

15 DR. FEIGAL: WHEN I TALK ABOUT TRAINING,
16 YES, WE'RE INCLUDING BRIDGES.

17 SO ARE THERE ANY QUESTIONS? THERE'S MORE
18 COLOR IN AN ACTUAL REPORT THAT YOU WILL SEE IN TERMS
19 OF WHAT THEY WERE THINKING, BUT BASICALLY THIS WAS
20 THE BOTTOM LINE OF THE MAIN POINTS THEY WANTED TO
21 GET ACROSS TO US.

22 SO OUR PRELIMINARY MANAGEMENT RESPONSE IS
23 THAT WE SUPPORT THE CONTINUED SUPPORT OF THE
24 TRAINING PROGRAMS. WE TOO FEEL THAT TRAINING OF THE
25 NEXT GENERATION OF SCIENTISTS, THERE WAS A

BARRISTERS' REPORTING SERVICE

1 PARTICULAR INTEREST IN THE MEDICAL SCIENTIST, WAS
2 VERY IMPORTANT FOR THIS FIELD. IN ADDITION, WE DID
3 TALK, NOT EXTENSIVELY BECAUSE JUST OF THE AMOUNT OF
4 TIME WE HAD BEFORE THIS MEETING, BUT THAT WE
5 UNDERSTAND THE RATIONALE FOR WHY THEY DON'T THINK
6 IT'S CRITICAL FOR US TO CONTINUE EXTENDING SUPPORT
7 FOR THE SHARED LABS. AND WE ACTUALLY AGREED WITH
8 THAT RATIONALE AND SUGGESTION. WE RECOGNIZE ANY OF
9 THESE DECISIONS ARE HARD, BUT THAT SOME INSTITUTIONS
10 MAY HAVE PROBLEMS IN MAINTAINING THESE FACILITIES.
11 BUT THE NEED FOR THESE FACILITIES HAS DECLINED WITH
12 THE POLITICAL CHANGES OVER TIME, AND WHERE POSSIBLE,
13 THESE FACILITIES COULD BE ABSORBED INTO GENERAL
14 INSTITUTIONAL FACILITIES. SO THAT WAS OUR INITIAL
15 IMPRESSION OF THESE RECOMMENDATIONS.

16 THE NEXT QUESTION THAT CIRM POSED WAS THE
17 FOLLOWING: THAT THE 2012 STRATEGIC PLAN UPDATE
18 EMPHASIZES MOVEMENT FROM THE BENCH TO THE BEDSIDE,
19 WHICH IS, IN FACT, HOW OUR SCIENTIFIC PROGRAMS HAVE
20 EVOLVED WITH INCREASED EMPHASIS OF THE PROPORTION OF
21 OUR FUNDING IN THE CLINIC AS OPPOSED TO BASIC AND
22 EARLY TRANSLATIONAL RESEARCH. DOING DEVELOPMENT
23 PROGRAMS IS JUST A MORE EXPENSIVE ENDEAVOR. AND AS
24 TIME MOVES ON, IT TAKES UP A LARGER PROPORTION OF
25 CIRM'S BUDGET. NONETHELESS, THOUGH, CIRM IS STILL

BARRISTERS' REPORTING SERVICE

1 STRONGLY SUPPORTIVE OF THE ENGINE OF DISCOVERY.

2 SO WE ASKED THEM TO DISCUSS WHETHER THERE
3 ARE PARTICULARLY IMPORTANT AREAS OF OPPORTUNITY IN
4 THE NEXT FOUR YEARS FOR BASIC DISCOVERY AND FOR
5 EARLY TRANSLATIONAL RESEARCH.

6 SO THE MAIN GIST OF WHAT THEY CAME BACK
7 WITH IS THAT THEY DO RECOMMEND, AND I DIVIDED IT
8 INTO THREE PARTS, IT WAS SORT OF A THREE-PART
9 RESPONSE. IN TERMS OF THE BASIC COMPONENT, THE SAB
10 RECOMMENDED CONTINUED SUPPORT FOR BASIC RESEARCH,
11 BUT DID RAISE THE ISSUE THAT THEY FELT OUR FOCUS AND
12 RESTRICTION OF CIRM FUNDING IN SOME OF OUR RFA'S TO
13 PROJECTS THAT REQUIRE THE USE OF HUMAN CELLS WAS TOO
14 PRESCRIPTIVE AND DIDN'T TAKE INTO ACCOUNT THE
15 BENEFITS THAT MODEL ORGANISM RESEARCH COULD OFFER.

16 IN ADDITION, IN THE AREA OF TRANSLATION,
17 THEY NOTED THAT THE CLINICAL PROJECTS SHOULD BE
18 CAREFULLY SELECTED SO THAT THEY ARE STRONG IN TERMS
19 OF THEIR MECHANISTIC BASIS AND HAVE A STRONG CHANCE
20 OF SUCCESS. THERE REALLY WAS NO CONSENSUS ON
21 PARTICULAR AREAS OF RESEARCH. SOME FELT THAT CIRM
22 SHOULD HAVE A FOCUS ON ES CELLS WHERE CALIFORNIA HAS
23 ALREADY SHOWN LEADERSHIP AND ACCUMULATED EXPERTISE.
24 ONE MEMBER SUGGESTED CIRM NOT FOCUS ON INDUCED
25 PLURIPOTENT STEM CELLS GIVEN JAPAN'S STRONG PUSH IN

BARRISTERS' REPORTING SERVICE

1 THIS AREA. WHEREAS, OTHERS THOUGHT YOU SHOULD BE
2 BROAD, AND IT WOULD BE MOST EFFECTIVE IN TERMS OF
3 MAXIMIZING SUCCESSES TO TAKE ADVANTAGE OF THE BROAD
4 RANGE OF PROJECTS AND EXPERTISE IN THE STATE.

5 AND THEN THERE WAS A SEPARATE
6 RECOMMENDATION REGARDING GRANT REVIEWERS. THIS MAY
7 HAVE COME UP IN THEIR TELECON CONVERSATION OR
8 PERHAPS IN THEIR CLOSED SESSION. BUT THE SAB NOTED
9 THAT CIRM SHOULD CONTINUE TO OBTAIN THE VERY BEST
10 EXTERNAL REVIEWERS. AND THEY BROUGHT UP THE ISSUE
11 OF THERE MAY BE REVIEWER FATIGUE AND MAY BE AT TIMES
12 DIFFICULT TO GET THE BEST PEOPLE TO JOIN. AND SO
13 CIRM COULD CONSIDER ENHANCING FUNDING FOR THE CHAIRS
14 OF THESE WORKING GROUPS AND TRY TO SCHEDULE THE
15 REVIEW MEETINGS ONE TO TWO YEARS IN ADVANCE IF THERE
16 ARE DIFFICULTIES IN RECRUITMENT.

17 SO THOSE WERE THE THREE BINS OF
18 RECOMMENDATION THAT THEY HAD FOR US IN THE AREAS OF
19 BASIC, TRANSLATION, AND GRANT REVIEW.

20 SO OUR PRELIMINARY RESPONSE IN THEIR
21 THOUGHTS ABOUT THE BASIC IS WE AGREE THAT MANAGEMENT
22 SHOULD CONTINUE TO SUPPORT FUNDING OF BASIC SCIENCE.
23 WE DO, HOWEVER, DO HAVE A PRIORITY OF SUPPORTING
24 TRANSFORMING BASIC RESEARCH, AND THAT HAS BEEN THE
25 FOCUS OF SOME OF OUR RECENT RFA'S. WE THOUGH HAVE

BARRISTERS' REPORTING SERVICE

1 HAD AND CONTINUE TO THINK THERE IS A NEED FOR HUMAN
2 CELLS RATHER THAN CELLS OF MODEL SYSTEMS. AND WE'VE
3 HAD THAT PRIORITY FROM THE BEGINNING. PART OF IT IS
4 BECAUSE WE'RE WORKING WITH HUMAN CELLS. WE'RE
5 TRYING TO FOCUS ON MODELS THAT MIGHT MORE CLOSELY
6 MIMIC THE HUMAN CONDITION. AND THESE ARE ALSO NOT
7 EXCLUSIVE OF A LOT OF PRELIMINARY WORK BEING DONE IN
8 AN ANIMAL MODEL AND ALSO RECOGNITION OF FACT THAT A
9 VERY SUBSTANTIVE PORTION OF FUNDING FROM THE
10 NATIONAL INSTITUTES OF HEALTH GOES TO ANIMAL MODEL
11 SYSTEMS.

12 SO, ONE, IT WAS THOUGHT WE WANT TO
13 MAINTAIN THE FOCUS ON HUMAN CELLS BECAUSE THERE ARE
14 OTHER FUNDING STREAMS THAT ARE FUNDING THESE OTHER
15 TYPES OF MODELS; BUT, IN ADDITION, WE DO RECOGNIZE
16 WE DON'T WANT TO MISS SOMETHING THAT COULD BE QUITE
17 TRANSFORMATIVE. SO WE DO HAVE SOME EXAMPLES IN OUR
18 FUNDING PORTFOLIO OF ALLOWING INVESTIGATORS TO LOOK
19 AT ANIMAL MODELS, USUALLY IN THE CONTEXT OF ALSO
20 LOOKING AT HUMAN CELLS, AND WE DO HAVE A TRACK WITH
21 OUR RECENT RFA IN BASIC BIOLOGY OF WHAT WE CALL
22 TRANSFORMATIVE WHERE I THINK EVERYTHING THAT CAME IN
23 WAS ON OTHER ANIMAL MODEL SYSTEMS.

24 SO WE DO THINK THERE MAY BE CERTAIN
25 INSTANCES WHERE WE SHOULD TRY AND MAKE SURE WE'RE

BARRISTERS' REPORTING SERVICE

1 CAPTURING THOSE SYSTEMS THAT COULD BE PARTICULARLY
2 INNOVATIVE AND NOVEL.

3 I ALSO THINK, AS YOU KNOW, AND THIS ISN'T
4 A REASON NOT TO DO SOMETHING, BUT WE ALSO ALREADY
5 GET HUNDREDS OF APPLICATIONS THAT COME IN IN THE
6 AREA OF HUMAN CELLS. AND WE PROBABLY DON'T HAVE THE
7 CAPACITY TO LOOK AT THOUSANDS OF APPLICATIONS. SO
8 WE ARE ALSO CONCERNED ABOUT OPENING UP THE GATES, SO
9 TO SPEAK, IN TERMS OF WHAT COULD COME IN.

10 WE DO BELIEVE WE SHOULD CONTINUE TO
11 EMPHASIZE THE STUDY OF HUMAN CELL SYSTEMS, BUT WE DO
12 TAKE THEIR POINT AND WILL ENSURE THAT ANY LIKELY
13 TRANSFORMING WORK IN OTHER ORGANISMS COULD BE
14 SUPPORTED IN SELECTION OF GRANTS FOR REVIEW.

15 CHAIRMAN THOMAS: ELLEN, WHAT'S THE FULL
16 RANGE OF MODEL SYSTEMS THAT WE'VE FUNDED OUTSIDE OF
17 HUMAN?

18 DR. FEIGAL: I'D HAVE TO -- WE ACTUALLY
19 CAN DO A PORTFOLIO ANALYSIS OF THAT. I DON'T HAVE
20 IT AT THE TOP OF MY HEAD RIGHT NOW. I DON'T KNOW
21 IF -- I'M SURE IF I TALKED TO DR. KELLY SHEPHERD,
22 SHE COULD GET THAT TO ME QUITE QUICKLY.

23 DR. YAFFE: WE HAVE FUNDED PROJECTS FROM
24 PLANARIA, FLAT WORMS, TO HUMANS. WE FUNDED PROJECTS
25 IN DROSOPHILA, FRUIT FLIES; C ELEGANS, WORMS; A LOT

BARRISTERS' REPORTING SERVICE

1 OF PROJECTS WITH MOUSE. AND SO WE HAVE FUNDED THE
2 SPECTRUM. THE VAST MAJORITY, OF COURSE, ARE WITH
3 HUMAN CELLS IN CULTURE.

4 CHAIRMAN THOMAS: THANK YOU, MICHAEL.

5 DR. FEIGAL: WERE THERE ANY MORE QUESTIONS
6 ABOUT ANIMAL MODELS?

7 MR. SHEEHY: AGAIN, MAYBE THIS IS NOT THE
8 RIGHT PLACE, AND I'M KIND OF ANTICIPATING GETTING TO
9 THE END OF THIS DISCUSSION ABOUT TRANSLATIONAL. BUT
10 IT SEEMS TO ME LIKE WHAT WE'RE TALKING ABOUT IS A
11 BROAD PRIORITIZATION PROCESS. SO IT WOULD BE
12 HELPFUL FOR ME AS A BOARD MEMBER, WHEN YOU'RE
13 THINKING ABOUT THIS, TO ALSO OVERLAY WITH THE
14 SCIENTIFIC QUESTIONS THE INFRASTRUCTURE QUESTIONS.
15 IT SEEMS LIKE ON ONE HAND WE'RE GOING TO TAKE OUR
16 MOST PROMISING PROJECTS AND SHOVE THEM TO
17 COMPLETION.

18 ON THE OTHER HAND, WE'RE GOING TO CONTINUE
19 SOME WORK AT A DIFFERENT LEVEL BEFORE THE CLINICAL
20 STAGE. AND WE SHOULD OVERLAY THAT WITH THE
21 DIALOGUES WITH THE PROGRAMS THAT WE HAVE SET UP
22 ACROSS CALIFORNIA WITH SOME SORT OF SENSE ABOUT HOW
23 TO METER THIS OUT AND WHAT PROPORTIONS OVER WHAT
24 PERIOD OF TIME IN ORDER TO SUSTAIN THE
25 INFRASTRUCTURE WE BUILT. WE BUILT BUILDINGS. WE'VE

BARRISTERS' REPORTING SERVICE

1 HIRED SCIENTISTS. WE HAVE A LOT OF WORK THAT'S
2 GOING ON. NIH IS NOT LIKE FOLKS ARE GOING TO
3 GRADUATE FROM OUR FUNDING TO NIH FUNDING. THAT MAY
4 BE A PROBLEM GOING FORWARD. WE CREATED THIS HUGE
5 INFRASTRUCTURE IN CALIFORNIA. IT SEEMS TO ME THAT
6 THERE SHOULD BE SOME SORT OF ANALYSIS THAT COMBINES
7 THE PRIORITIZATION WITH SUSTAINING THE
8 INFRASTRUCTURE THAT WE CREATED.

9 WE'RE NOT TALKING ABOUT GETTING A QUICK
10 HIT OUT OF THIS. THIS REALLY SOUNDS TO ME LIKE
11 THEY'RE SAYING KEEP YOUR PROGRAMS GOING, KEEP WHAT
12 YOU BUILT, SUSTAIN WHAT YOU BUILT. IT SEEMS LIKE
13 PARTIALLY THAT'S A SCIENCE QUESTION, BUT IT'S ALSO
14 RECOGNIZING WHAT IS THE MIX OF PROGRAMS WE NEED TO
15 SUSTAIN WHAT WE'VE BUILT. WHEN WE COME BACK IN
16 DECEMBER, IT WOULD BE HELPFUL TO HAVE A PROCESS TO
17 ADD THAT AS A DIMENSION TO THE ANALYSIS.

18 DR. FEIGAL: SO TO THE TRANSLATIONAL
19 RESEARCH, WE ACTUALLY AGREE THAT THERE SHOULD BE A
20 STRONG MECHANISTIC BASIS AS MUCH AS POSSIBLE. WE
21 DID NOT FEEL FROM WHAT WE READ IN THE REPORT THERE
22 WAS ACTUALLY A CONSENSUS ON LOOKING AT A PARTICULAR
23 CELL TYPE, WHETHER IT'S IPS, ES CELL. THERE REALLY
24 WASN'T A CONSENSUS IN WHAT WE HEARD AT A HIGH LEVEL.
25 THIS COULD BE SOMETHING THAT WE EXAMINE WITH THEM IN

BARRISTERS' REPORTING SERVICE

1 A MORE IN-DEPTH DISCUSSION.

2 AT THIS POINT IN TIME, THOUGH, IN TERMS OF
3 WHAT WE'RE TRYING TO DO AND NOT KNOWING WHERE THE
4 FIELD COULD LEAD, AND IT COULD BE ONE APPROACH IS
5 GOOD IN A PARTICULAR AREA AND A DIFFERENT CELL TYPE
6 APPROACH IS APPROPRIATE IN ANOTHER, THAT IT WOULD
7 PROBABLY BE IN THE BEST INTEREST OF THE INSTITUTE TO
8 PURSUE A BROAD RANGE OF SCIENTIFICALLY COMPELLING
9 STEM CELL PLATFORMS.

10 IN TERMS OF GRANT REVIEWERS -- DID YOU
11 HAVE A COMMENT?

12 MR. TORRES: IT'S A PROCESS QUESTION.
13 YOU'RE GOING TO CONTINUE TO MEET WITH THE SCIENTIFIC
14 ADVISORY BOARD TO GO OVER THEIR INITIAL
15 RECOMMENDATIONS, CORRECT? SO THESE ARE VERY
16 PRELIMINARY MANAGEMENT RESPONSES.

17 DR. FEIGAL: THESE ARE PRELIMINARY ONLY
18 BECAUSE WE'VE HAD TWO DAYS TO GO THROUGH THEM. BUT
19 I DON'T SEE THAT -- I MEAN THERE MAY BE NUANCES THAT
20 HAVEN'T BEEN BROUGHT OUT. I CAN'T SAY THERE HAS
21 BEEN A STRONG DIFFERENCE OF OPINION ABOUT THE
22 MANAGEMENT RESPONSE IN TALKING WITH PEOPLE.

23 MR. TORRES: I APPRECIATE YOU AND THE
24 SCIENCE STAFF WORKING SO DILIGENTLY TO GET SOME
25 RESPONSE TO US TODAY, AND IT'S VERY, VERY ADMIRERD.

BARRISTERS' REPORTING SERVICE

1 SECONDLY, IS IT THE INTENT OF THIS CHAIR
2 THAT THE SCIENTIFIC ADVISORY BOARD MEMBERS OR ONE OR
3 TWO OF THEM MIGHT ADDRESS US AT THE DECEMBER
4 MEETING?

5 AND SECONDLY, ANOTHER PROCESS QUESTION.
6 SHOULD THE SCIENCE SUBCOMMITTEE TAKE THE TIME TO
7 REVIEW THESE RECOMMENDATIONS WITH STAFF AT A LATER
8 DATE, BUT PRIOR TO OUR FULL DECEMBER MEETING?

9 DR. FEIGAL: I THINK THE WAY IT WAS SET
10 UP, IT WAS SET UP TO BE AN ADVISORY BOARD TO THE
11 SCIENTIFIC PART OF THE INSTITUTE. AND THAT THROUGH
12 THE SCIENTIFIC STAFF, WE WOULD COMMUNICATE THE
13 FINDINGS TO YOU AS OPPOSED TO A DIRECT INTERACTION
14 WITH THE BOARD.

15 MR. TORRES: ALL RIGHT. IT STILL REQUIRES
16 US AS A BOARD TO RESPOND SINCE WE'RE GOING TO HAVE
17 TO MAKE MAJOR DECISIONS, AS SHERRY AND JEFF AND
18 OTHERS HAVE OPINED, WITH RESPECT TO HOW WE UTILIZE
19 THE CURRENT FUNDING AND ANY FUTURE FUNDING WE MIGHT
20 HAVE. SO I'M SAYING WHEN IS THAT GOING TO TAKE
21 PLACE? IS THE SUBCOMMITTEE GOING TO MEET FIRST AND
22 THEN PRESENT THEIR RECOMMENDATIONS TO US AT THE FULL
23 MEETING IN DECEMBER, OR ARE WE JUST GOING TO COME
24 BACK IN DECEMBER AND DO A FULL HEARING?

25 DR. FEIGAL: I THINK THE ISSUE IS THIS IS

BARRISTERS' REPORTING SERVICE

1 A PRELIMINARY DISCUSSION RIGHT NOW, AND PROBABLY
2 DECEMBER IS WHERE WE WOULD HAVE SOME FURTHER
3 DIGESTION OF THE ISSUES AND COME BACK TO YOU FOR
4 MORE IN-DEPTH DISCUSSION.

5 MR. TORRES: I JUST FEEL MANY TIMES A
6 SUBCOMMITTEE COULD GET MORE INTO THE WEEDS OR DRILL
7 DOWN MORE THAN WE AS A FULL BOARD CAN GIVEN THE TIME
8 THAT WE HAVE. IT'S JUST A SUGGESTION. MIGHT NOT
9 THAT BE A GOOD APPROACH AS WE MOVE FORWARD?

10 CHAIRMAN THOMAS: I THINK, SENATOR TORRES,
11 THAT IS A GOOD IDEA. PERHAPS SHORTLY IN ADVANCE OF
12 THE, SO AS TO GIVE YOU GUYS FULL TIME TO DO WHAT YOU
13 NEED TO DO, SHORTLY IN ADVANCE OF THE DECEMBER
14 MEETING, WE COULD CONVENE THE SCIENCE SUBCOMMITTEE
15 JUST TO AIR OUT SOME OF THESE ISSUES.

16 A QUESTION I HAVE, FOR EXAMPLE, WE'RE
17 TALKING ABOUT WHAT WILL BE PRESENTED IN DECEMBER.
18 WE IMMEDIATELY HAVE A SIGNIFICANT FUNDING ISSUE
19 COMING UP THE FOLLOWING DAY IN THE FORM OF THE DT
20 III AWARDS. AND TO THE EXTENT THAT WE WOULD BE
21 CONTEMPLATING PUTTING ASIDE SIGNIFICANT AMOUNT OF
22 MONEY OR RESERVING IT, IF YOU WILL, FOR PUSHING THE
23 SIX TO EIGHT THROUGH TO PROOF OF CONCEPT, THAT COULD
24 IMPACT ON THE DECISIONS MADE THE FOLLOWING DAY ON DT
25 III.

BARRISTERS' REPORTING SERVICE

1 SO IT WOULD BE HELPFUL TO HAVE MORE
2 THOUGHT OUT THAN LESS THE AMOUNT OF MONEY THAT ONE
3 MIGHT NEED FROM A BUDGETING PERSPECTIVE FOR THE
4 PROJECTS THAT WE'RE PLANNING TO PUSH THROUGH.

5 DR. FEIGAL: WHAT I TRIED TO EXPLAIN IS
6 IT'S NOT JUST THE BUDGET, BUT WE WOULD COME BACK TO
7 YOU WITH WHAT WE THINK THE FUNDING SET ASIDE WOULD
8 BE IN DECEMBER. IT WOULDN'T JUST BE A PROCESS.

9 CHAIRMAN THOMAS: THAT'S GREAT. YOU WOULD
10 PLAN ON HAVING THOSE NUMBERS BY DECEMBER?

11 DR. FEIGAL: CORRECT.

12 CHAIRMAN THOMAS: PREFERABLY BY THE
13 SCIENCE SUBCOMMITTEE THAT WOULD PRECEDE THAT BY JUST
14 A LITTLE BIT.

15 DR. FEIGAL: SURE.

16 IN TERMS OF WHAT WE WERE TALKING ABOUT
17 HERE, I THINK WE WERE AT THE GRANT REVIEW SECTION OF
18 OUR PRELIMINARY RESPONSE. THAT WE COMPLETELY AGREE
19 THAT WE WANT THE BEST AVAILABLE REVIEWERS TO
20 CONTINUE TO BE CHOSEN FOR ASSESSING GRANTS. AND
21 ACTUALLY OUR REMUNERATION TO REVIEWERS ALREADY
22 FAVORABLY COMPARES TO NIH AND OTHER FOUNDATIONS.
23 BUT THE MAJOR POINT WITH WHETHER OR NOT PEOPLE CAN
24 ATTEND OR NOT IS USUALLY ONE OF TIME. IT'S NOT
25 ABOUT MONEY. AND THAT IT'S USUALLY TIME OF THE

BARRISTERS' REPORTING SERVICE

1 REVIEWERS THAT'S THE RATE-LIMITING STEP. WE HAVE A
2 LOT OF REVIEWS, WE HAVE SOME THAT GO SEVERAL DAYS IN
3 DURATION, AND IT'S DIFFICULT FOR PEOPLE TO EXPEND A
4 LARGE AMOUNT OF TIME. PARTICULARLY IF THEY'RE STILL
5 ACTIVELY WORKING AND IN A LAB AND TRYING TO GET WORK
6 DONE, IT REALLY IS A TIME ISSUE.

7 AS AN AGENCY, WE ACTUALLY HAVE A
8 REQUIREMENT BUILT INTO THE PROPOSITION ABOUT HOW
9 MANY REVIEWERS NEED TO BE ON-SITE, AND IT'S A RATHER
10 LARGE GROUP, AND IT HAS TO BE OF A CERTAIN
11 COMPOSITION. SO WE HAVE PARAMETERS THAT WE NEED TO
12 WORK WITHIN. AND SO IT DOES MAKE IT CHALLENGING
13 BECAUSE OF THE LARGE NUMBERS OF PEOPLE THAT HAVE TO
14 COME AND THE FACT THAT THEY HAVE TO BE ON SITE.

15 WE DON'T HAVE A GREAT ANSWER. I WISH WE
16 COULD SCHEDULE THINGS TWO YEARS IN ADVANCE, BUT WE
17 ACTUALLY SCHEDULE THINGS ONCE WE HAVE SORT OF THE
18 TENTATIVE BOOKMARKS. BUT UNTIL WE SEE THE CONTENT
19 OF WHAT COMES IN AS AN APPLICATION, WE CAN'T REALLY
20 GET THE REVIEWER IN ADVANCE. SO WE HAVE A POOL OF
21 PEOPLE THAT WE CAN CALL ON. BUT MAYBE THERE ARE
22 WAYS THAT WE COULD BE MORE EFFICIENT IN TERMS OF HOW
23 WE DO IT.

24 BUT TO DATE I THINK DR. SAMBRANO HAS BEEN
25 PRETTY SUCCESSFUL IN RECRUITING OUTSTANDING TALENT

BARRISTERS' REPORTING SERVICE

1 TO THESE REVIEW SESSIONS. AND TO DATE THE MAJOR
2 RATE-LIMITING STEP HAS BEEN TIME AND NOT MONEY.

3 CHAIRMAN THOMAS: I PRESUME THAT THE SAB
4 WAS VERY POSITIVE ON THE POOL OF REVIEWERS THAT DR.
5 SAMBRANO HAS PULLED TOGETHER. THAT WAS CERTAINLY MY
6 UNDERSTANDING.

7 DR. FEIGAL: MY ONLY UNDERSTANDING, THERE
8 WASN'T AN EXTENSIVE DISCUSSION WITH CIRM STAFF ABOUT
9 OUR PROCESS OR THE COMPOSITION OF THE REVIEWERS.
10 THAT MIGHT BE SOMETHING THEY'D BE INTERESTED IN
11 HEARING MORE ABOUT.

12 CHAIRMAN THOMAS: JUST FOR THE RECORD,
13 GIL, WE THINK YOU DO AN OUTSTANDING JOB. IT'S VERY
14 DIFFICULT TO GET EVERYBODY PULLED TOGETHER AND TO
15 HAVE THE LEVEL OF SOPHISTICATION AND TALENT THAT
16 YOU'VE BEEN ABLE TO GET FOR US. AND THE BOARD
17 GREATLY APPRECIATES ALL YOUR WORK IN THAT REGARD.

18 DR. FEIGAL: I THINK ANECDOTALLY WE HAVE
19 PEOPLE VOLUNTEERING TO BE A MEMBER BECAUSE THEY'RE
20 SO INTERESTED IN THIS GRAND EXPERIMENT OF THIS
21 AGENCY. SO ACTUALLY I THINK PEOPLE HAVE BEEN PRETTY
22 POSITIVE ABOUT TRYING TO INTERACT WITH THE AGENCY
23 AND ADD VALUE IN WHATEVER WAY THEY CAN. AT LEAST
24 THAT'S THE FEEDBACK I'VE HEARD FROM SOME OF THE
25 REVIEWERS.

BARRISTERS' REPORTING SERVICE

1 CHAIRMAN THOMAS: I ALWAYS HAVE SIDEBAR
2 DISCUSSIONS WITH REVIEWERS AT OUR GRANTS WORKING
3 GROUP, AND WITHOUT FAIL THEY BELIEVE THAT WHAT WE'VE
4 GOT GOING HERE IS SOMETHING THAT'S EXCEPTIONALLY
5 VALUABLE. THEY ARE ABSOLUTELY ALWAYS ENVIOUS THAT
6 WE HAVE THE PROGRAM THAT WE DO AND ARE VERY
7 ENTHUSIASTIC ABOUT PARTICIPATING IN THE PROCESS AND
8 DOING WHAT THEY CAN TO ADVANCE THE CAUSE. SO I
9 FULLY AGREE WITH YOUR COMMENTS, DR. FEIGAL.

10 DR. FEIGAL: THE NEXT QUESTION THAT WE
11 POSED TO THE SAB IS WHAT'S YOUR ADVICE ON HOW TO
12 BETTER ENGAGE THE PRIVATE SECTOR TO PARTNER WITH
13 CIRM SO THAT WE CAN ENABLE THE TRANSLATIONAL AND
14 CLINICAL DEVELOPMENT PROGRAMS TO MOVE FURTHER, AND
15 SO THAT'S FURTHER OPPORTUNITIES FOR ACHIEVING
16 CLINICAL PROOF OF CONCEPT AND, IF SUCCESSFUL,
17 TOWARDS FDA APPROVAL AND COMMERCIALIZATION.

18 AND THEN THERE WERE VERY SPECIFIC
19 QUESTIONS ABOUT WHETHER OR NOT CIRM FUNDING SHOULD
20 SUPPORT CALIFORNIA CELL MANUFACTURING CAPACITY,
21 PHASE III STUDIES, WHAT TYPES OF COST AND FACILITIES
22 WOULD BE NECESSARY? IS IT REASONABLE TO FUND THOSE
23 WITHOUT PUBLIC/PRIVATE PARTNERSHIPS?

24 I GUESS ONE CAVEAT I MIGHT HAVE IS I DON'T
25 KNOW IF -- YOU WILL SEE THEY DIDN'T REALLY ANSWER

BARRISTERS' REPORTING SERVICE

1 THAT QUESTION. AND SO I DON'T KNOW IF THEY DIDN'T
2 FEEL IT WAS REALLY WITHIN THEIR RADAR SCREEN OF
3 THINGS THEY WOULD BE KNOWLEDGEABLE ENOUGH TO ANSWER,
4 OR WHETHER OR NOT IT JUST DIDN'T COME UP IN THE
5 OTHER ISSUES THAT THEY WERE TALKING ABOUT. BUT
6 ANYWAY, WE ACTUALLY DON'T HAVE A SPECIFIC ADVICE
7 ABOUT MANUFACTURING.

8 BUT THEY DID PROVIDE SOME PERSPECTIVES AT
9 LEAST ON WHAT THEY THOUGHT OF THE VIEW THEY HAD OF
10 OUR INTERACTIONS BETWEEN CIRM AND THE COMMERCIAL
11 SECTOR. AND OVERALL THEY ACTUALLY HAD A POSITIVE
12 VIEW ON HOW WE INTERACT WITH THE COMMERCIAL SECTOR.
13 THEY DID NOTE THE ADVANTAGE OF LEVERAGING FUNDING
14 FROM THE COMMERCIAL SECTOR FOR EXTERNALLY VALIDATING
15 THE QUALITY OF THE SCIENCE AND THE LIKELIHOOD OF
16 SUCCESS FOR OUR PROJECTS. THEY ALSO RECOMMENDED FOR
17 THE TOP PRIORITIZED SET OF PROJECTS, HOWEVER, THAT
18 IT IS IMPORTANT TO ENSURE THAT THEY CAN BE FUNDED
19 WITHOUT REQUIRING MATCHED LEVERAGE FUNDING UNTIL
20 AFTER PHASE IIA WHEN SUCCESSFUL PROGRAMS SHOULD
21 READILY OBTAIN EXTERNAL SUPPORT.

22 CHAIRMAN THOMAS: CAN YOU JUST REMIND THE
23 BOARD WHAT THE REQUIREMENTS ARE AT THE MOMENT FOR
24 LEVERAGED FUNDING?

25 DR. FEIGAL: WE ACTUALLY HAVE NO

BARRISTERS' REPORTING SERVICE

1 REQUIREMENTS FOR LEVERAGED SUPPORT FROM INDUSTRY
2 EXCEPT FOR THE STRATEGIC PARTNERSHIP PROGRAM.
3 THERE'S NO REQUIREMENT IN ANY OF THE OTHER PROGRAMS.
4 WHAT WE HAVE REQUIRED FOR THOSE WHO ARE WORKING IN
5 SMALL MOLECULES AND BIOLOGICS, WHICH HAS A
6 WELL-SUPPORTED INDUSTRY BEHIND IT, THAT THERE BE AT
7 LEAST 25 PERCENT LEVERAGED FUNDING THAT CAN COME
8 FROM ANYWHERE. IT CAN COME FROM OTHER GRANTS. IT
9 CAN COME FROM FOUNDATIONS. IT CAN COME FROM
10 INSTITUTIONAL SUPPORT. IT WAS JUST AN ISSUE OF OUR
11 PURSE IS FINITE. THIS IS A WELL-DEVELOPED AREA THAT
12 INDUSTRY KNOWS WELL. ALTHOUGH IT'S POSSIBLE IT
13 COULD COME FROM INDUSTRY, THERE'S NO REQUIREMENT FOR
14 IT TO COME FROM INDUSTRY. IT'S ONLY THE STRATEGIC
15 PARTNERSHIP WHERE IT'S REQUIRED.

16 SO OUR PRELIMINARY RESPONSE IS THAT WE
17 AGREE WHERE APPROPRIATE TRANSLATIONAL AND
18 DEVELOPMENT STUDIES CAN BE DRIVEN INSIDE ACADEMIA.
19 HOWEVER, WE ALSO BELIEVE, AND IT'S NOT BECAUSE OF
20 JUST THE DOLLAR ISSUE, THAT THE PRECLINICAL AND THE
21 EARLY CLINICAL TRIALS NEED EXPERTISE THAT GENERALLY
22 RESIDES IN INDUSTRY AND THAT CONSULTANTS AND
23 PARTNERSHIPS SHOULD BE INTEGRATED INTO ACADEMIC
24 TEAMS, THAT INDUSTRY DOES NEED TO BE ENCOURAGED TO
25 PARTICIPATE IN CLINICAL TRIALS WITH TEAMS WORKING

BARRISTERS' REPORTING SERVICE

1 ACROSS THE PORTFOLIO AND PARTICULARLY FOR THOSE
2 PROJECTS THAT INCLUDE SMALL MOLECULES AND BIOLOGICS.
3 HOWEVER, WE DO AGREE IT'S IMPORTANT NOT TO ADVERSELY
4 PENALIZE TEAMS WHO HAVE VERY SOUND AND STRONG
5 COMPETITIVE PROJECTS WHERE INDUSTRY DOES NOT WANT TO
6 BUY IN.

7 SO IF THERE'S SOMETHING PARTICULARLY
8 COMPELLING AND STRONG, AND FOR WHATEVER REASON IT
9 CAN'T ATTRACT THAT KIND OF LEVERAGE, THEN DON'T
10 PENALIZE THAT TEAM IF WE THINK IT'S A REALLY STRONG
11 WAY TO MOVE FORWARD.

12 THE NEXT QUESTION WAS REALLY ABOUT -- I
13 KNOW IT LOOKS SORT OF VAGUE HERE. BUT BASICALLY THE
14 QUESTION WAS POSED TO THEM SHOULD WE ENGAGE OUR
15 COLLABORATING PARTNERS, AND I DON'T THINK IT'S IN
16 THE FORMAL SENSE OF OUR CFP'S. I JUST THINK IT WAS
17 WITH A VARIETY OF DIFFERENT PEOPLE WITH WHOM WE WORK
18 IN A MAJOR PROJECT AS A FLAGSHIP TO SET THE FIELD IN
19 MOTION AS WE WIND DOWN. SO THAT WAS THE WAY THE
20 QUESTION WAS POSED.

21 AND WHAT HAPPENED, MAYBE IT WAS DURING THE
22 CLOSED SESSION, THEY WERE PRESENTED WITH WHAT I'D
23 CALL A STRAWMAN PROPOSAL IN A PARTICULAR THERAPEUTIC
24 AREA. AND SO THEIR PERSPECTIVES FROM HEARING ABOUT
25 SOME MAJOR PROJECT IN A PARTICULAR THERAPEUTIC AREA

BARRISTERS' REPORTING SERVICE

1 AS A STRAWMAN PROJECT, THEY THOUGHT THE UNCERTAINTY
2 OF THE SCIENCE IN ANY ONE THERAPEUTIC AREA WOULD
3 MAKE THIS A VERY HIGH RISK STRATEGY. AND THEY WERE
4 AGAINST CONSOLIDATING PROGRAMS IN THIS WAY.

5 THEY DID, HOWEVER, FEEL IF AN OPPORTUNITY
6 AROSE TO PARTICIPATE IN A MAJOR PROJECT IN A SINGLE
7 THERAPEUTIC AREA WHERE THERE WAS A PARTNERSHIP THAT
8 PROVIDED SIGNIFICANT FINANCIAL LEVERAGE TO CIRM, IT
9 MIGHT BE AN EFFECTIVE USE OF RESOURCES PROVIDED THAT
10 IT DIDN'T CONSTRAIN PROGRESSION OF OUR PRIORITIZED
11 PORTFOLIO.

12 AND OUR INITIAL RESPONSE TO THAT WAS THAT
13 WE ACTUALLY AGREED THAT A MAJOR FLAGSHIP PROJECT
14 THAT WOULD COMMIT A LARGE AMOUNT OF CIRM FUNDS WOULD
15 NOT BE APPROPRIATE AT THIS STAGE OF CIRM'S LIFE.
16 HOWEVER, IF THERE WAS A SIGNIFICANT NATIONAL OR
17 INTERNATIONAL PROJECT THAT EVOLVED IN TIME, IT MIGHT
18 BE APPROPRIATE FOR THE ICOC TO CONSIDER SOME
19 INVOLVEMENT TOGETHER WITH OTHER RELEVANT AGENCIES.

20 ACTUALLY THE LAST QUESTION TO THEM WAS
21 MORE ABOUT HOW WOULD YOU DEFINE SUCCESS? SO IF YOU
22 LOOK TO THE FUTURE, YOUR AN EXTERNAL BOARD, MOST OF
23 YOU DON'T LIVE IN CALIFORNIA, BUT YOU KNOW ABOUT WHY
24 WE WERE CREATED AND WHAT WE'RE TRYING TO DO. HOW
25 WOULD YOU BEST MAKE THE CASE THAT CIRM WAS A GREAT

BARRISTERS' REPORTING SERVICE

1 INNOVATION IN PUBLIC FUNDING OF CUTTING-EDGE
2 SCIENCE, AND HOW WOULD YOU MAKE THE CASE WHETHER IT
3 HAS DELIVERED AND COULD CONTINUE TO DELIVER IN THE
4 FUTURE VALUE TO THE CITIZENS OF CALIFORNIA AND TO
5 THE FIELD OF REGENERATIVE MEDICINE?

6 SO IT WASN'T ALLEGING THAT MET THAT GOAL.
7 IT WAS JUST SAYING WHAT DO YOU SEE AS THE MILESTONES
8 OF SUCCESS? WHAT DO YOU THINK ARE TANGIBLE BENEFITS
9 THAT YOU COULD CLEARLY STATE WOULD BE IMPORTANT?

10 AND THEY ACTUALLY CAME BACK TO THEIR
11 ORIGINAL THEME. THEY THOUGHT THE MOST TANGIBLE
12 THING TO DO WOULD BE TO ADVANCE A PROJECT TO THE
13 STAGE OF CLINICAL PROOF OF CONCEPT, AND THAT WOULD
14 BE A VERY IMPORTANT CASE TO MAKE TO THE PUBLIC, AND
15 THAT CARE MUST BE TAKEN TO ENSURE THAT THE MOST
16 PROMISING PROJECTS ARE SUPPORTED THROUGH TO THIS
17 STAGE BY CIRM FUNDING.

18 AND THEN THE REST OF IT IS A BIT OF AN
19 ACCOLADE TO CIRM, BUT THEY ACTUALLY FELT FROM
20 LOOKING AT WHAT WE'VE DONE, HEARING ABOUT WHAT THE
21 ISSUES ARE, THEY ACTUALLY THINK THE CASE THAT CIRM
22 HAS BEEN TRANSFORMATIVE IN THIS EXCITING, EMERGING
23 FIELD OF BIOMEDICAL SCIENCE WAS SELF-EVIDENT TO
24 THEM. BUT WHAT THEY DID REMARK IS THE LEVEL OF
25 ACTIVITY IN THIS FIELD IN CALIFORNIA, ALTHOUGH IT'S

BARRISTERS' REPORTING SERVICE

1 EXTRAORDINARILY HIGH, THERE ARE MANY EXCELLENT
2 PROGRAMS BEING SUPPORTED BY CIRM THAT WOULD HAVE
3 FAILED TO BE SUPPORTED GIVEN THE LIMITED AMOUNTS OF
4 FUNDING AVAILABLE FOR THIS FIELD WHEN CIRM WAS
5 ESTABLISHED AND THAT IT'S YIELDED A LARGE NUMBER OF
6 EXTREMELY WELL-TRAINED STUDENTS AND INVESTIGATORS
7 THAT ARE SUPPORTED DIRECTLY OR INDIRECTLY BY CIRM,
8 AND THAT THERE'S A CRITICAL MASS IN A NUMBER OF THE
9 MAJOR ACADEMIC CENTERS AROUND CALIFORNIA THAT HAS
10 ALLOWED IT TO COMPETE INTERNATIONALLY, AND THAT THE
11 COMMERCIAL ENVIRONMENT FOR REGENERATIVE MEDICINE IN
12 CALIFORNIA HAS THRIVED AS A RESULT OF CIRM
13 INTERVENTION. IN THEIR NEXT RECOMMENDATION THEY
14 NOTE THAT IT SEEMS TO BE SO UNDER-RECOGNIZED IN
15 TERMS OF THE TYPES OF THINGS THAT CIRM HAS ALREADY
16 ACCOMPLISHED.

17 BUT GOING BACK TO WHAT THEY THOUGHT WAS
18 THE MAIN TANGIBLE PRODUCT THAT WOULD MEAN SOMETHING
19 TO THE CITIZENS OF CALIFORNIA AND TO FUTURE RESEARCH
20 SUPPORTERS, THEY STAYED SPECIFIC ON THAT CLINICAL
21 PROOF OF CONCEPT AS BEING A VERY IMPORTANT GOAL FOR
22 US TO ACHIEVE.

23 OTHER RECOMMENDATIONS THAT TIE TO THIS IS
24 THAT THE SAB NOTED THAT CIRM, DESPITE ITS
25 CONSIDERABLE ACHIEVEMENTS, DOES NOT APPEAR TO HAVE

BARRISTERS' REPORTING SERVICE

1 RECEIVED THE ATTENTION NOR THE ATTRIBUTION THAT MANY
2 EQUIVALENT FUNDING BODIES WOULD HAVE HAD FOR THEIR
3 CONTRIBUTION TO SUCCESSFUL SCIENCE, AND THAT THEY
4 STRONGLY SUGGEST THAT CIRM RAMP UP ITS OUTREACH
5 ACTIVITIES BOTH TO IMPROVE THE CALIFORNIA PUBLIC'S
6 AWARENESS OF WHAT THE INSTITUTE IS DOING AND ITS
7 UNIQUENESS IN THE WORLD, ITS SUCCESSES SO FAR, AND
8 THE POTENTIAL OF STEM CELL RESEARCH TO ADVANCE
9 TREATMENT OF DISEASES AND INJURIES.

10 THEY FELT THAT THE CIRM BRAND
11 INTERNATIONALLY AND EVEN NATIONALLY IS LIMITED AND
12 THAT IT NEEDED TO BE CORRECTED. THEY DIDN'T SUGGEST
13 THE WAYS TO DO IT. THEY WERE JUST SAYING THIS SEEMS
14 TO BE A REAL ISSUE.

15 AND OUR PRELIMINARY MANAGEMENT RESPONSE TO
16 THIS, WITHOUT BEING SPECIFIC, IS THAT WE HAVE
17 RECOGNIZED THAT WE NEED TO CONTINUE TO ELEVATE
18 RECOGNITION IN LEADING GLOBAL DEVELOPMENTS IN STEM
19 CELL RESEARCH AND MEDICAL APPLICATIONS. AND WE DO
20 NEED TO CONTINUE TO WORK ON WAYS TO MORE EFFECTIVELY
21 ASSURE THAT ADVANCES AND DEVELOPMENTS THAT ARISE
22 FROM CIRM-SUPPORTED ACTIVITIES ARE EFFECTIVELY
23 TRANSMITTED TO THE SCIENTIFIC COMMUNITY AND THE
24 PUBLIC.

25 AS YOU KNOW, THAT WAS A MAJOR THEME OF A

BARRISTERS' REPORTING SERVICE

1 PREVIOUS ASSESSMENT. WE PUT IN PLACE A
2 COMMUNICATION HEAD TO REALLY HELP WITH THE --
3 ENHANCE THE PUBLIC COMMUNICATION PART. AND SO AT
4 LEAST THAT'S AN INITIAL THOUGHT OF WORKING WITH OUR
5 CIRM COMMUNICATIONS PARTICULARLY RELATING TO
6 COMMUNICATION TO THE PUBLIC.

7 CHAIRMAN THOMAS: ELLEN, UNDERSTANDING
8 THAT THESE PROJECTS ARE ALL IN VARYING DEGREES OF
9 GOING FORWARD, DID THEY GIVE ANY OPINION ON THE
10 VALUE OF SOME OF THE MAJOR INITIATIVES WE'RE
11 UNDERTAKING; FOR EXAMPLE, THE IPS CELL BANK, THE
12 GENOMICS INITIATIVE, THE ALPHA CLINICS, ETC.?

13 DR. FEIGAL: THERE ACTUALLY IS NOTHING IN
14 THE REPORT. THEY WERE GIVEN UPDATES ON THESE MAJOR
15 INITIATIVES, THE IPS, THE GENOMICS, THE ALPHA CELL
16 CLINIC. THEY ACTUALLY DIDN'T PROVIDE ANY FEEDBACK
17 ON THOSE, CERTAINLY NOT IN THEIR REPORT. IT COULD
18 BE THEY THINK, SINCE THESE ARE ALREADY GOING DOWN
19 THE TRACK, THERE'S NOT AS MUCH AN ABILITY TO IMPACT
20 ON THEM. BUT AT ANY RATE, THERE'S NOTHING
21 SUBSTANTIVE THAT I CAN CALL OUT THAT WOULD SHED
22 LIGHT ON THOSE.

23 DR. DULIEGE: SO, ELLEN, THANK YOU VERY
24 MUCH FOR THIS DETAILED REPORT, REALIZING THAT YOU
25 HAVE RECEIVED IT FAIRLY RECENTLY. AND THAT WAS A

BARRISTERS' REPORTING SERVICE

1 PERFECT ANSWER TO MY EARLIER QUESTION. BUT MY POINT
2 IS THAT THESE COMMENTS IN GENERAL APPEAR TO BE VERY
3 MUCH IN CONGRUENCE WITH THE POSITION OF CIRM. IF
4 ANYTHING, THE RESPONSE WAS WE AGREE TO EACH OTHER.

5 WAS THERE ANYTHING IN THEIR RECOMMENDATION
6 THAT WAS REALLY NOVEL, IF NOT PROVOCATIVE, THAT
7 WOULD INSPIRE CIRM TO MOVE IN A DIRECTION THAT
8 HADN'T BEEN THOUGHT ABOUT BEFORE?

9 DR. FEIGAL: NOT THAT I HEARD AND NOT THAT
10 I READ IN THE REPORT. THERE WAS ACTUALLY A QUESTION
11 THAT WE TOOK OUT BECAUSE THERE WASN'T A RESPONSE.
12 WE ACTUALLY ASKED THEM ARE WE MISSING SOMETHING. IS
13 THERE AN AREA THAT WE SHOULD BE INVOLVED IN? IT
14 COULD BE THAT THIS IS GOING TO BE A CONTINUING
15 DIALOGUE WITH THE SAB, AND THERE'S ONLY SO MANY
16 HOURS IN THE DAY, AND MAYBE THEY DIDN'T REALLY HAVE
17 ENOUGH TIME TO REALLY DWELL ON THAT PARTICULAR
18 THING. IT COULD BE THAT THEY NEED MORE INFORMATION
19 TO REALLY PROVIDE A PERSPECTIVE THERE.

20 I THINK FROM WHAT WE HEARD, AND I'M JUST
21 SAYING THIS AS A PERSONAL OPINION RIGHT NOW, I'M NOT
22 SPEAKING ON BEHALF OF THE WHOLE AGENCY, IS WHAT I
23 HEARD SEEMED TO BE CONSISTENT WITH OUR STRATEGIC
24 PLAN. I DID NOT HEAR ANYTHING THAT WAS YOU'RE GOING
25 IN THE WRONG DIRECTION. YOU REALLY NEED TO STEER

BARRISTERS' REPORTING SERVICE

1 OVER HERE. IT SEEMED TO BE MORE ABOUT WE ALL
2 RECOGNIZE WE NEED TO FOCUS, AND THEY WERE -- I GUESS
3 THE MOST NOVEL THING WAS THE VERY FIRST
4 RECOMMENDATION IS I GUESS I WOULD SEE IT NOT AS
5 SHOVING, BUT AS REMOVING IMPEDIMENTS TO MOVING
6 QUICKLY ON PARTICULARLY PROMISING PROJECTS.

7 CHAIRMAN THOMAS: THE CHALLENGE, OF
8 COURSE, IS GOING TO BE THEY'RE RECOMMENDING
9 OBVIOUSLY ADDITIONAL FUNDING FOR US TO DETERMINE,
10 AND BASICALLY THE ONLY THING I HEAR THEY SUGGESTED
11 TO DISCONTINUE IS SHARED LABS, WHICH IS \$7 MILLION A
12 YEAR, WHICH IS NOT ONE OF OUR BIGGER TICKET
13 PROGRAMS.

14 DR. FEIGAL: I DIDN'T ACTUALLY HEAR THEM
15 SAY YOU NEED ADDITIONAL DOLLARS BECAUSE THEY DON'T
16 KNOW OUR BUDGET AND WHAT THE COST OF FULLY FUNDING
17 68 PROJECTS COULD BE. IT COULD BE REPRIORITIZING.

18 CHAIRMAN THOMAS: MY ONLY POINT WAS THAT
19 IF WE ARE GOING TO PUT MORE MONEY INTO THOSE
20 PROJECTS AND THEY'RE ONLY RECOMMENDING DISCONTINUING
21 A RELATIVELY SMALL TICKET IN OUR WORLD PROGRAM, WE
22 ARE GOING TO HAVE TO FIGURE OUT WHERE THOSE DOLLARS
23 ARE GOING TO COME FROM, AND SOME OTHER PROGRAMS THAT
24 WE HAVE THAT ARE EXISTING WILL BY DEFINITION HAVE TO
25 BE DOWNSIZED SOMEWHAT. SO THAT WILL BE A CHALLENGE.

BARRISTERS' REPORTING SERVICE

1 DR. FEIGAL: I THINK THE BIG THING IS, I
2 GUESS THE OTHER WAY TO THINK ABOUT IT IS THAT WE DO
3 HAVE CERTAIN AMOUNTS OF MONEY THAT WE PLANNED IN THE
4 BINS. WE ALSO HAVE A BUCKET THAT WE CALL
5 UNALLOCATED. SO IT'S POSSIBLE THAT WE COULD LOOK AT
6 THOSE DIFFERENT BUCKETS TO SEE WHAT WE COULD
7 ACCOMMODATE.

8 YOU'RE ABSOLUTELY RIGHT THOUGH. WE CAN'T
9 DO EVERYTHING SORT OF BUSINESS AS USUAL.

10 MR. SHEEHY: BUT IT DOES CALL INTO
11 QUESTION WHAT OUR FUTURE RFA SCHEDULE LOOKS LIKE. I
12 MEAN ONE WOULD ASK MIGHT THIS BE OUR LAST DISEASE
13 TEAM ROUND AT LEAST FOR PROJECTS THAT WE HAVEN'T
14 KIND OF GIVEN BIRTH TO AND BROUGHT ALONG. FOR A NEW
15 DISEASE TEAM THAT HAS NO PRIOR RELATIONSHIP WITH
16 CIRM, WOULD THAT NECESSARILY BE A GREAT INVESTMENT
17 FOR US?

18 AND JUST ONE OTHER POINT. I THINK ON THIS
19 LAST ISSUE THAT WAS JUST BROUGHT UP, I WANT TO JUST
20 SAY TO JON THOMAS THAT I THINK A REAL MAJOR
21 ACHIEVEMENT OF HIS LEADERSHIP HAS BEEN TO ADDRESS
22 THIS ISSUE. AND I THINK THE START THAT HE'S TAKING,
23 THAT'S NOT TO SAY THAT GREAT WORK WAS NOT BEING DONE
24 BEFORE, BUT I THINK IT'S BEEN TRANSFORMATIVE. AND
25 THEY'RE A LITTLE BIT BEHIND THE CURVE ON THIS ONE.

BARRISTERS' REPORTING SERVICE

1 IT WOULD BE GREAT IF WE DIDN'T SPEND VERY MUCH TIME
2 ON THIS AT THE DECEMBER MEETING.

3 CHAIRMAN THOMAS: THANK YOU, JEFF. OTHER
4 COMMENTS BY MEMBERS OF THE BOARD? THIS REQUIRES NO
5 ACTION, CORRECT? IT'S LISTED AS AN ACTION ITEM.

6 MS. BONNEVILLE: JUST IN CASE.

7 CHAIRMAN THOMAS: JUST IN CASE. YOU NEVER
8 KNOW. HEARING NO ACTION IN PARTICULAR, WOULD THE
9 PUBLIC LIKE TO MAKE ANY COMMENT ON WHAT THEY HAVE
10 BEEN HEARING?

11 MR. REED: I THINK THERE'S A LOT OF GOOD
12 STUFF THERE. I LIKE THE IDEA OF TAKING FIVE OR SIX
13 OR THREE OR FOUR, EIGHT OR WHATEVER, SMALL NUMBER,
14 AND PUBLICIZING THE HELL OUT OF IT.

15 ONE THING I THINK WE HAVE HAD
16 TREMENDOUS -- THERE'S NEVER BEEN ENOUGH PEOPLE,
17 ENOUGH STAFF, TO DO THE PUBLICITY WORK THAT'S REALLY
18 REQUIRED FOR THIS INCREDIBLE AGENCY. THERE'S
19 NOTHING ELSE LIKE IT. AND THEY JUST DON'T HAVE
20 ENOUGH PEOPLE. DON GIBBONS HAS ALWAYS DONE TERRIFIC
21 WORK, KEVIN, AMY ADAMS, BUT THERE'S ONLY A VERY TINY
22 NUMBER OF THEM. IF THERE'S ANY POSSIBLE WAY THAT WE
23 CAN GET ANOTHER STAFF MEMBER, I THINK THAT COULD BE
24 TRANSFORMATIVE. WE'VE GOT TO GET THE PUBLIC TO KNOW
25 WHAT'S HAPPENING. THERE ARE MIRACLES HAPPENING

BARRISTERS' REPORTING SERVICE

1 HERE, BUT THEY'RE HAPPENING IN LOW-KEY AND JUST
2 QUIETLY. AND WE NEED TO HAVE SOME NOISE. SO I
3 WOULD HOPE THAT WE'D CONSIDER HIRING ONE MORE PERSON
4 FOR NOTHING BUT PUBLIC RELATIONS JUST TO GET THE
5 MESSAGE OUT. I THINK THAT WOULD BE HUGE. THANK
6 YOU.

7 CHAIRMAN THOMAS: THANK YOU. OF COURSE,
8 WE HAVE A GREAT VEHICLE FOR PUBLICIZING ALL THIS
9 GOOD NEWS, MR. JENSEN. ALWAYS LIKE TO INVOKE YOU
10 WHENEVER APPROPRIATE, SIR.

11 ANY OTHER COMMENTS BY MEMBERS? OH, YES.
12 PLEASE.

13 DR. BRASWELL: HI. GOOD AFTERNOON. MY
14 NAME IS JENNIFER BRASWELL, AND I'M THE EXECUTIVE
15 DIRECTOR OF THE UC SAN DIEGO STEM CELL PROGRAM. I'D
16 LIKE TO REPORT ON THE SUCCESSES OF THE CIRM STEM
17 CELL RESEARCH AND TRAINING PROGRAMS AND SAY THANK
18 YOU TO THE CALIFORNIA INSTITUTE FOR REGENERATIVE
19 MEDICINE FOR THEIR SUPPORT OF THESE PROGRAMS. AND I
20 STRONGLY URGE YOUR CONTINUED SUPPORT.

21 I'M SORRY THAT I HAVE TO READ MY COMMENTS,
22 BUT THE ROOM IS LARGE AND FILLED WITH PEOPLE WHOSE
23 OPINION I REALLY CARE ABOUT. SO IN ORDER TO STAY ON
24 TRACK, I'M GOING TO READ MY COMMENTS.

25 TO DATE THE UNIVERSITY OF CALIFORNIA SAN

BARRISTERS' REPORTING SERVICE

1 DIEGO CIRM STEM CELL RESEARCH AND TRAINING GRANT HAS
2 PROVIDED FELLOWSHIPS TO 68 UCSD FELLOWS IN 42
3 DIFFERENT LABS, IN 14 DIFFERENT DEPARTMENTS,
4 INCLUDING ENGINEERING, MEDICINE, AND BIOLOGY.
5 GRADUATE STUDENTS, POSTDOCTORAL SCHOLARS, AND
6 PHYSICIANS ALL HAVE RECEIVED RESEARCH SUPPORT,
7 ETHICS TRAINING, AND EDUCATION IN STEM CELL SCIENCE
8 AND REGENERATIVE MEDICINE, CREATING AT UCSD AND
9 THROUGHOUT THE WORLD AN INNOVATIVE, RIGOROUSLY
10 TRAINED COMMUNITY OF SCHOLARS, DOCTORS, AND
11 INNOVATORS.

12 FROM PEDIATRICIANS TO NEUROSCIENTISTS,
13 BIOENGINEERS TO BIOINFORMATICS SPECIALISTS, THE
14 CALIFORNIANS TRAINED IN THE CIRM PROGRAM HAVE MADE
15 SIGNIFICANT CONTRIBUTIONS TO OUR KNOWLEDGE AND TO
16 OUR HEALTH.

17 ONE STUDENT, FOR EXAMPLE, WAS JESSICA
18 DEQUACH, WHOSE WORK AIMED TO DEVELOP MATERIALS TO
19 TREAT CRITICAL LIMB ISCHEMIA, A SEVERE BLOCKAGE IN
20 THE ARTERIES OF THE LOWER EXTREMITIES WHICH MARKEDLY
21 REDUCES BLOOD FLOW. SHE NOW WORKS AT A PRIVATE
22 COMPANY IN CALIFORNIA.

23 ANOTHER GRADUATE STUDENT, NISHA PATEL, DID
24 WORK THAT HELPED ATTRACT FUNDING FOR THE NIH BETA
25 CELL BIOLOGY CONSORTIUM, AN INTERDISCIPLINARY

BARRISTERS' REPORTING SERVICE

1 FEDERAL COLLABORATION TO CORRECT THE LOSS OF BETA
2 CELL MASS IN DIABETES.

3 WITH THE INSIGHTS THESE TWO TRAINEES
4 GAINED, THEIR FACULTY MEMBERS AT UC SAN DIEGO WERE
5 ABLE TO ATTRACT NIH FUNDING TO CALIFORNIA OF OVER \$6
6 MILLION. EIGHTEEN OF OUR TRAINEES ARE PRACTICING
7 PHYSICIANS. THEY BRING ADVANCED KNOWLEDGE OF STEM
8 CELL SCIENCE AND ETHICS TO THEIR CLINICAL PRACTICES.

9 FOR EXAMPLE, JIGAR PATEL, DO, WHO IS
10 PRACTICING AS A HEART FAILURE PHYSICIAN AT SCRIPPS
11 GREEN; SHAUNA YUAN, M.D., A RESEARCH FACULTY MEMBER
12 AND A NEUROLOGIST AT UC SAN DIEGO; VERONIQUE
13 TACHE-ZONA, M.D., A REPRODUCTIVE MEDICINE DOCTOR AT
14 UC DAVIS; AND LOUISE LAURENT, M.D., PH.D., WHO
15 PRACTICES REPRODUCTIVE MEDICINE AT UC SAN DIEGO, IS
16 ON OUR RESEARCH FACULTY IN THE SANFORD CONSORTIUM
17 FOR REPRODUCTIVE MEDICINE AND HAS DEVELOPED
18 DIAGNOSTIC TECHNIQUES NOW IN CLINICAL TRIAL TO ALLOW
19 EARLY DETECTION OF PLACENTAL PROBLEMS IN PREGNANCY.

20 SOME OF OUR EARLY CAREER RESEARCHERS HAVE
21 ATTRACTED PRIVATE FUNDING TO SUPPORT THEIR WORK ON
22 DIFFICULT AND MEANINGFUL PROBLEMS, SUCH AS JESSICA
23 YOUNG, PH.D., WHO WORKS TO DISSECT THE ROLE OF
24 INDIVIDUAL GENETIC BACKGROUND IN SPORADIC
25 ALZHEIMER'S DISEASE. OR BEATRIZ FREITAS, PH.D.,

BARRISTERS' REPORTING SERVICE

1 WHOSE WORK WILL CONTINUE WITH PRIVATE FUNDING TO
2 UNDERSTAND THE ROLE OF CERTAIN NEURAL CELLS IN
3 RETT'S SYNDROME AND AUTISTIC SPECTRUM DISORDER.

4 YOU HAVE HEARD ME MENTION JUST A FEW OF
5 THE TRAINEES ATTRACTED TO STEM CELL RESEARCH AND
6 BIOMEDICINE, THE FIELD THAT WILL TRANSFORM THE WORLD
7 AND HUMAN HEALTH. THE UC SAN DIEGO-CIRM TRAINING
8 PROGRAM HAS ATTRACTED THE FINEST, MOST DEDICATED,
9 AND INNOVATIVE YOUNG SCHOLARS AND PHYSICIANS. MY
10 STORIES ONLY TOUCH ON THE IMPRESSIVE ACHIEVEMENTS OF
11 THE CIRM STEM CELL RESEARCH AND TRAINING PROGRAM
12 FELLOWS.

13 THANK YOU FOR YOUR TIME AND ATTENTION, AND
14 I URGE YOUR CONTINUED SUPPORT OF THE TRAINING
15 PROGRAM. THANK YOU.

16 CHAIRMAN THOMAS: THANK YOU VERY MUCH. I
17 THINK WERE OTHERS AT DIFFERENT INSTITUTIONS TO
18 REPORT IN ON THE SAME SUBJECT, YOU'D HEAR EXACTLY
19 SIMILAR SORT OF HIGH PRAISE FOR THAT PROGRAM. SO WE
20 APPRECIATE THE FEEDBACK AND UNDERSTAND AND AGREE
21 WITH THE VALUE IT HAS BROUGHT TO THE MISSION.

22 ANY OTHER COMMENTS?

23 DR. FEIGAL: I JUST WANT TO MAKE ONE, AND
24 I SHOULD HAVE PREFACED THE COMMENTS WITH WE ACTUALLY
25 WANT TO THANK SIR JOHN BELL AND THE ENTIRE SAB FOR

BARRISTERS' REPORTING SERVICE

1 TAKING THE TIME, THE EFFORT, AND TAKING TIME OUT
2 FROM EXTREMELY BUSY ACTIVITIES THAT THEY DO. WE
3 GREATLY APPRECIATED THE DISCUSSIONS AND THE
4 THOUGHTFULNESS WITH WHICH THEY CAME UP WITH SOME
5 RECOMMENDATIONS. SO I JUST WANTED TO PUBLICLY MAKE
6 SURE THAT THAT WAS IN THE RECORD AS WELL.

7 CHAIRMAN THOMAS: THANK YOU. AND THANK
8 YOU VERY MUCH, DR. FEIGAL, FOR THE PRESENTATION, FOR
9 THE QUICK TURNAROUND ON PRELIMINARY RESPONSE FROM
10 MANAGEMENT. WE APPRECIATE THAT.

11 OKAY. THAT CONCLUDES THE OPEN SESSION FOR
12 THE DAY. WE'RE NOW GOING TO ADJOURN INTO CLOSED
13 SESSION, WHICH WILL BE WHERE, MARIA?

14 MS. BONNEVILLE: RIGHT ACROSS THE WAY.

15 CHAIRMAN THOMAS: RIGHT ACROSS THE
16 HALLWAY. MR. HARRISON, COULD YOU GIVE US THE
17 APPROPRIATE LANGUAGE TO SERENADE US INTO CLOSED
18 SESSION?

19 MR. HARRISON: YES. THE BOARD WILL BE
20 CONVENING IN CLOSED SESSION TO DISCUSS PERSONNEL
21 PURSUANT TO GOVERNMENT CODE SECTION 11126 AND HEALTH
22 AND SAFETY CODE SECTION 125290.30(F)(3)(D).

23 CHAIRMAN THOMAS: THANK YOU. THERE WILL
24 BE A QUIZ ON THAT NUMBER LATER IN THE MEETING. SO
25 WE WILL ADJOURN FOR CLOSED SESSION ACROSS THE HALL.

BARRISTERS' REPORTING SERVICE

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

THANK YOU.

(AT 2:03 P.M. THE BOARD CONVENE IN
CLOSED SESSION, NOT REPORTED NOR HEREIN TRANSCRIBED,
AFTER WHICH THE MEETING WAS CONCLUDED.)

BARRISTERS' REPORTING SERVICE

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE PROCEEDINGS BEFORE THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD AT THE LOCATION INDICATED BELOW

HILTON SFO BAYFRONT HOTEL
600 AIRPORT BOULEVARD
BURLINGAME, CALIFORNIA
ON
OCTOBER 9, 2013

WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CSR 7152
BARRISTERS' REPORTING SERVICE
160 S. OLD SPRINGS ROAD
SUITE 270
ANAHEIM, CALIFORNIA
(714) 444-4100