

BETH C. DRAIN, CA CSR NO. 7152

BEFORE THE
SCIENCE SUBCOMMITTEE AND NEURO TASK FORCE ON
NEUROSCIENCE AND MEDICINE
OF THE
INDEPENDENT CITIZENS' OVERSIGHT COMMITTEE
TO THE
CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE
ORGANIZED PURSUANT TO THE
CALIFORNIA STEM CELL RESEARCH AND CURES ACT
REGULAR MEETING

LOCATION: VIA ZOOM

DATE: JULY 11, 2024
9 A.M.

REPORTER: BETH C. DRAIN, CA CSR
CSR. NO. 7152

FILE NO.: 2024-30

**133 HENNA COURT, SANDPOINT, IDAHO 83864
208-920-3543 DRAIBE@HOTMAIL.COM**

BETH C. DRAIN, CA CSR NO. 7152

I N D E X

ITEM DESCRIPTION	PAGE NO.
OPEN SESSION	
1. CALL TO ORDER	3
2. ROLL CALL	3
3. REVIEW STRATEGIC ALLOCATION FRAMEWORK GOALS 1 AND 2 AND POTENTIAL RECOMMENDATIONS	5
4. PUBLIC COMMENT	NONE
5. ADJOURNMENT	60

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

JULY 11, 2024; 9 A.M.

CHAIRMAN FISCHER-COLBRIE: OKAY. GREAT.
WELL, WELCOME TO THE JOINT MEETING OF THE NEURO TASK
FORCE AND THE SCIENCE SUBCOMMITTEE. AND WE'RE VERY
PLEASED TO CONTINUE THE DISCUSSION RELATED TO THE
STRATEGIC ALLOCATION FRAMEWORK AND THE OTHER AGENDA
ITEMS. BUT WITH THAT IN MIND, FIRST, IF WE COULD
HAVE A ROLL CALL. SCOTT, IF YOU COULD LEAD US IN A
ROLL CALL.

MR. TOCHER: SURE.

MARIA BONNEVILLE.

VICE CHAIR BONNEVILLE: PRESENT.

MR. TOCHER: LEONDRA CLARK-HARVEY.

DR. CLARK-HARVEY: PRESENT.

MR. TOCHER: DEBORAH DEAS. MARK
FISCHER-COLBRIE.

CHAIRMAN FISCHER-COLBRIE: PRESENT.

MR. TOCHER: FRED FISHER. ELENA FLOWERS.
JUDY GASSON.

CHAIRPERSON GASSON: HERE.

MR. TOCHER: DAVID HIGGINS.

DR. HIGGINS: PRESENT.

MR. TOCHER: VITO IMBASCIANI.

CHAIRMAN IMBASCIANI: HERE.

BETH C. DRAIN, CA CSR NO. 7152

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

MR. TOCHER: PAT LEVITT.
DR. LEVITT: HERE.
MR. TOCHER: SHLOMO MELMED.
DR. MELMED: HERE.
MR. TOCHER: CAROLYN MELTZER.
DR. MELTZER: PRESENT.
MR. TOCHER: LAUREN MILLER-ROGEN. CHRIS
MIASKOWSKI.
DR. MIASKOWSKI: PRESENT.
MR. TOCHER: MARV SOUTHARD.
DR. SOUTHARD: PRESENT.
MR. TOCHER: KAROL WATSON.
DR. WATSON: HERE.
MR. TOCHER: KEITH YAMAMOTO.
DR. YAMAMOTO: HERE.
MR. TOCHER: THANK YOU VERY MUCH, KEITH.
GREAT. WE'RE READY TO GO. THANKS VERY MUCH, MARK.
CHAIRMAN FISCHER-COLBRIE: GREAT. WELL,
BEFORE WE KICK OFF, FIRST OF ALL, I'D LIKE TO HAVE
OUR NEWLY APPOINTED CEO, JON THOMAS, MAKE SOME
INTRODUCTORY COMMENTS BEFORE WE CONTINUE WITH THE
FORMAL AGENDA.
SO, J.T., I'M VERY EXCITED ABOUT YOUR
APPOINTMENT, BUT WOULD LOVE TO HAVE YOU GIVE
COMMENTS HERE.

BETH C. DRAIN, CA CSR NO. 7152

1 DR. THOMAS: THANK YOU VERY MUCH, MARK.
2 THANK YOU, ALL MEMBERS OF THE BOARD. IT'S A
3 PLEASURE TO BE HERE IN THE INITIAL MEETING IN THIS
4 CAPACITY OF OUR VERY MAJOR LIFT HERE THAT WE'VE BEEN
5 DOING NOW FOR SIX MONTHS. WE'RE ABOUT TWO-THIRDS OF
6 THE WAY THROUGH. AND IT'S GETTING INTO NOW THE MEAT
7 OF RECOMMENDATIONS TO DISCUSS WITH ALL OF YOU.

8 I WANT TO SAY WE HAVE THE PLEASURE OF
9 BEING JOINED IN OUR OFFICES BY MR. JENSEN, WHO IS
10 OVER ON THE OTHER SIDE OF THE ROOM AND IS KEENLY
11 INTERESTED IN EVERYTHING WE'RE TALKING ABOUT HERE AS
12 WELL HE SHOULD BE. IT'S REALLY GOOD STUFF.

13 SO WITH THAT, I WANT TO TURN IT OVER TO
14 ROSA FOR HER REPORT ON WHERE WE STAND CURRENTLY IN
15 THE PROCESS. SO, ROSA, THANK YOU VERY MUCH.

16 DR. CANET-AVILES: THANK YOU, J.T. AND
17 THANK YOU, SARA, FOR RUNNING THE SLIDES. CAN YOU
18 ALL HEAR ME?

19 MR. TOCHER: YES.

20 DR. CANET-AVILES: WONDERFUL. SO MR.
21 CHAIRMAN OF THE SCIENCE SUBCOMMITTEE AND MADAM
22 CO-CHAIR AND MR. CO-CHAIR OF THE NEURO TASK FORCE,
23 ON BEHALF OF CIRM TODAY, I WILL BE PRESENTING THE
24 NEXT STEPS IN THE ANALYSIS, THE RESULTS OF THE
25 ANALYSIS FOR THE RECOMMENDATIONS AND THE

BETH C. DRAIN, CA CSR NO. 7152

1 RECOMMENDATIONS FOR DISCUSSION. NEXT SLIDE OF THE
2 FIRST TWO GOALS IN OUR STRATEGIC ALLOCATION
3 FRAMEWORK.

4 THANK YOU. SO TODAY, IN ORDER TO ENSURE
5 AMPLE TIME FOR DISCUSSION, THE BACKGROUND AND THE
6 STRATEGIC ALLOCATION FRAMEWORK OVERVIEW WILL NOT BE
7 PRESENTED DURING TODAY'S MEETING. WE ADDED A
8 REFERENCE TO WHEN THESE SECTIONS WERE PREVIOUSLY
9 PRESENTED AT THE JUNE 27TH ICOC MEETING AND ALSO
10 PRESENTED AT THE PREVIOUS SCIENCE SUBCOMMITTEE/NEURO
11 TASK FORCE JOINT MEETING. SO IF YOU ARE INTERESTED
12 OR YOU HAVEN'T HAD TIME TO ADDRESS THIS, YOU CAN
13 REFER TO THAT LINK THAT WILL LEAD YOU DIRECTLY TO
14 THE MOMENT IN THE VIDEO WHEN WE STARTED PRESENTING.
15 NEXT SLIDE.

16 SO TODAY'S PRESENTATION WILL FOCUS ON
17 GOALS 1 AND 2 THAT WERE INTRODUCED FOR THE FIRST
18 TIME AT THE LAST NEURO TASK FORCE/SCIENCE
19 SUBCOMMITTEE JOINT MEETING AND SUBSEQUENTLY AT THE
20 ICOC OF JUNE.

21 THE PRESENTATION SHOULD TAKE ABOUT HALF OF
22 OUR MEETING MAXIMUM. IF I CAN, I WILL TRY TO GO
23 FASTER. AND THE OTHER HALF SHOULD BE FOR
24 DISCUSSION. NEXT SLIDE.

25 I'M GOING TO TAKE A MOMENT HERE TO GO OVER

BETH C. DRAIN, CA CSR NO. 7152

1 THE PROCESS HOW WE DEVELOPED THESE GOALS. THE GOALS
2 WERE DEVELOPED THROUGH A SERIES OF STRATEGIC
3 PLANNING DISCUSSIONS THAT WERE STRUCTURED AROUND
4 ALIGNING WITH THE OVERARCHING STRATEGIC PLAN THAT WE
5 HAVE HAD SINCE 2020 WHILE REMAINING ADAPTABLE TO
6 EMERGING SCIENTIFIC, TECHNOLOGICAL, AND OTHER
7 OPPORTUNITIES LIKE MARKET OPPORTUNITIES.

8 KEY ELEMENTS OF THE PROCESS WERE ALREADY
9 TALKED ABOUT, LIKE WE THOUGHT ABOUT IMPACT
10 POTENTIAL, PATIENT REACH, TECHNOLOGY, PROSPECTS FOR
11 REGULATORY APPROVAL, BUT THEY ALSO INCLUDED THINGS
12 LIKE STRATEGIC HORIZON MAPPING, SO EVALUATING THE
13 CHALLENGES AND OPPORTUNITIES FOR CIRM'S PATH FORWARD
14 IN THE NEXT MORE OR LESS NEXT DECADE. THE
15 PRACTICALITY OF THESE IMPACT GOALS, THE IMPACT GOALS
16 VERSUS THE CONSTRAINTS IN PRACTICALITY. FLEXIBILITY
17 AS WELL, EMPHASIZING THE IMPORTANCE OF ADAPTING AND
18 PLANNING TO ACCOMMODATE NEW OPPORTUNITIES AND
19 CHALLENGES. BUT ALSO AN IMPORTANT PART WAS
20 ADDRESSING A DIVERSE DISEASE SPECTRUM.

21 AS A GOVERNMENT AGENCY, ONE MAJOR
22 CHALLENGE IS THE WIDE RANGE OF DISEASES FROM RARE TO
23 COMMON. AND EACH OF THEM REQUIRES A SPECIFIC
24 RESEARCH FOCUS AND RESOURCES.

25 HISTORICALLY OUR EFFORTS HAVE

BETH C. DRAIN, CA CSR NO. 7152

1 PREDOMINANTLY TARGETED RARE DISEASES, WHICH HAS
2 ALLOWED US TO MAKE SIGNIFICANT STRIDES IN AREAS THAT
3 OFTEN LACK ATTENTION AND FUNDING. BY CONCENTRATING
4 ON THESE CONDITIONS, CIRM HAS CATALYZED ADVANCEMENTS
5 IN THE TRANSLATION OF THIS FUNDING INTO CLINICAL
6 APPLICATIONS EVEN FOR SOME DISEASES, LIKE SICKLE
7 CELL, THAT ARE THRESHOLD, THE PREVALENT, RIGHT.
8 WHEN LOOKING AT OUR HISTORY AND PORTFOLIO, ONE OF
9 THE CONSIDERATIONS THAT CIRM HAS NOT MADE WAS HOW
10 COULD CIRM MAKE AN IMPACT TO DISEASES THAT AFFECT
11 MOST CALIFORNIANS.

12 SO BY EXTENDING OUR FOCUS TO INCLUDE
13 PREVALENT DISEASES ALONGSIDE RARE ONES, WE HOPE THAT
14 WE WILL NOT ONLY BROADEN OUR IMPACT, BUT ALSO
15 DEMONSTRATE OUR COMMITMENT TO IMPROVING THE HEALTH
16 OUTCOMES FOR ALL CALIFORNIANS.

17 IMPORTANTLY, THIS EXPANSION DOES NOT MEAN
18 THAT CIRM WILL CEASE FUNDING IN RARE DISEASES. ON
19 THE CONTRARY SINCE CIRM IS ACTIVELY DEVELOPING A
20 STRATEGY UNDER DR. CREASEY'S LEADERSHIP TO OPTIMIZE
21 OUR INVESTMENTS IN RARE DISEASES. AND THIS
22 STRATEGIC APPROACH WILL INITIALLY BRING A HIGH LEVEL
23 OVERVIEW OF WHAT THIS WILL BE ABOUT DURING THE NEXT
24 MEETING SCHEDULED FOR AUGUST 16TH WHERE WE WILL BE
25 TALKING ABOUT OR PRESENTING THE RECOMMENDATIONS FOR

BETH C. DRAIN, CA CSR NO. 7152

1 GOALS 3 AND 4 RESPECTIVELY.

2 SO I WANT TO ENSURE THAT WE ARE ALL
3 ALIGNED AND UNDERSTAND THE DUAL FOCUS OF OUR
4 STRATEGIC EXPANSION.

5 SO THROUGH THE DATA THAT WE'VE GATHERED
6 AND THE STRATEGIC ALLOCATION FRAMEWORK EXERCISE, WE
7 AIM TO INTEGRATE NEW SCIENTIFIC INSIGHTS WITH OUR
8 PROVEN APPROACHES TO DISCOVERY AND TRANSLATION,
9 ENSURING THAT EVERY CALIFORNIAN BENEFITS FROM THE
10 ADVANCEMENTS IN STEM CELL AND GENETIC RESEARCH.

11 NOW, FOR PREVALENT DISEASES, IT'S VERY
12 IMPORTANT TO SUPPORT EARLY STAGE RESEARCH WHERE
13 TRADITIONAL VENTURE CAPITAL AND INDUSTRY FUNDING ARE
14 MORE CAUTIOUS, BUT PARTICULARLY IN OUR CURRENT
15 ECONOMIC CONDITIONS AS WELL. SO WE, AS A FUNDING
16 AGENCY OF THE STATE OF CALIFORNIA, HAVE A
17 RESPONSIBILITY TO EVALUATE THE TYPE OF ROLE THAT WE
18 CAN PLAY IN THOSE EARLY STAGES. AND TODAY IS ONE OF
19 THE DAYS THAT WE WILL BE DISCUSSING THIS BECAUSE IT
20 APPLIES MORE TO THE DISCOVERY AREA OF CIRM'S
21 FUNDING. NEXT SLIDE. THANK YOU.

22 SO TODAY'S PRESENTATION, THE GOAL OF TODAY
23 IS TO BASICALLY REVIEW THE PRELIMINARY GOALS OF 1
24 AND 2 AND THE HIGH LEVEL QUESTIONS THAT WE POSED,
25 THE DATA ANALYSIS, THE RECOMMENDATIONS, AND THEN

BETH C. DRAIN, CA CSR NO. 7152

1 HAVE A DISCUSSION. SO LET'S GO INTO THE FIRST GOAL.

2 AS I WAS SAYING, IT IS IMPORTANT TO
3 SUPPORT EARLY STAGE RESEARCH WHERE TRADITIONAL
4 VENTURE CAPITAL AND INDUSTRY ARE MORE CAUTIOUS. AND
5 IN ORDER TO DO THAT, THE FIRST GOAL, WHICH WAS OUR
6 WORKING HYPOTHESIS, WAS DEFINED AS CATALYZING THE
7 IDENTIFICATION AND VALIDATION OF AT LEAST X NOVEL
8 TARGETS AND BIOMARKERS, ENSURING INTEGRATION INTO
9 PRECLINICAL OR CLINICAL RESEARCH FOR DISEASES IN
10 CALIFORNIA.

11 WE ADDED AN X. WE DIDN'T WANT TO
12 DELINEATE THE NUMBER BECAUSE WE THOUGHT THAT THE
13 BOARD MIGHT WANT TO HAVE A DISCUSSION AROUND THAT.
14 WE DIDN'T WANT TO SAY THREE, FOUR, FIVE. WE CAN
15 DISCUSS THIS DURING THE RECOMMENDATION, THE
16 DISCUSSION TIME.

17 NOW, IN ORDER TO DELINEATE -- TO FIGURE
18 OUT WHAT THE RECOMMENDATIONS COULD BE, WE ASKED THE
19 FOLLOWING QUESTIONS AT A VERY HIGH LEVEL. WITHIN
20 PORTFOLIO SCOPE AND DISEASE REPRESENTATION, WE ASKED
21 OURSELVES WHICH DISEASES IN CALIFORNIA COULD BENEFIT
22 MOST FROM IDENTIFICATION AND VALIDATION OF NOVEL
23 TARGETS AND BIOMARKERS? AND WHAT DOES THE DISEASE
24 BURDEN AND PREVALENCE DATA INDICATE ABOUT PRIORITY
25 HEALTH OUTCOMES IN OUR STATE? WHICH OF THESE ARE

BETH C. DRAIN, CA CSR NO. 7152

1 MORE AMENABLE TO DISCOVERY OF TARGETS/BIOMARKERS
2 UTILIZING STEM CELL AND/OR GENETIC RESEARCH?

3 SO ALL OF THIS IS WHAT WE ARE TRYING TO DO
4 TODAY IN A VERY SHORT MEETING IS PRESENT HOW THE
5 DATA LED TO THE RECOMMENDATIONS THAT WE WILL
6 PRESENT.

7 IN TERMS OF COLLABORATION, HOW CAN WE
8 LEVERAGE AND INCENTIVIZE MULTIPLE STAKEHOLDER
9 COLLABORATION TO ACCELERATE THE DISCOVERY AND
10 VALIDATION OF NOVEL TARGETS AND BIOMARKERS?

11 AND INNOVATION AND TECHNOLOGY, WHAT NEW
12 TECHNOLOGIES AND RESEARCH METHODS COULD ADVANCE THE
13 DISCOVERY AND VALIDATION OF NOVEL TARGETS AND
14 BIOMARKERS? NOW, THIS SLIDE IS VERY CONCISE. IT
15 TOOK A LOT OF DAYS AND LONG DISCUSSIONS AT THE LEVEL
16 OF THE LEADERSHIP TEAM AND DISCUSSING WITH THE
17 SCIENCE TEAM LEADS AS WELL TO GET TO THESE SUCCINCT
18 QUESTIONS. WE WANT TO STREAMLINE THIS APPROACH. SO
19 THIS SEEMS SIMPLE, BUT WE HAD A LOT -- A LOT OF
20 THOUGHT WENT INTO PUTTING TOGETHER THESE QUESTIONS.

21 THE NEXT GOAL IS ACCELERATE THE
22 DEVELOPMENT AND UTILIZATION OF X TECHNOLOGIES THAT
23 DEMONSTRATE IMPROVEMENT IN SAFETY, EFFICACY, AND
24 QUALITY OF CELL AND GENE THERAPIES. AS WE ALL KNOW,
25 IN ORDER TO ACHIEVE BROAD APPLICABILITY OF CELL AND

BETH C. DRAIN, CA CSR NO. 7152

1 GENE THERAPIES FOR RARE AND PREVALENT DISEASES,
2 THERE'S A NEED TO IMPLEMENT TECHNOLOGY PLATFORMS
3 THAT CAN ENSURE THE SAFETY, EFFICACY, AND
4 RELIABILITY OF MULTIPLE CELL AND GENE THERAPIES.
5 AND THIS WAS THE FRAMING FOR THE DESIGN OF THE
6 SECOND GOAL WITH A FOCUS ON BRIDGING THE GAP BETWEEN
7 CUTTING-EDGE ACADEMIC RESEARCH IN CELL AND GENE
8 THERAPIES AND INDUSTRY AND ITS COMMERCIALIZATION.

9 SO THE HIGH LEVEL QUESTIONS THAT WE AS A
10 TEAM DEVELOPED WERE CURRENT DEVELOPMENT BOTTLENECKS.
11 WHAT ARE THE CURRENT TRANSLATIONAL BOTTLENECKS FOR
12 CELL AND GENE THERAPIES? WHAT IS THE INDUSTRY
13 LANDSCAPE? WHAT IS INDUSTRY LACKING THAT WE COULD
14 INVEST TO MAKE ACADEMIA AND INDUSTRY BY
15 COLLABORATING ACCELERATE THAT? RIGHT.

16 INNOVATION AND TECHNOLOGY, WHAT INNOVATIVE
17 TECHNOLOGIES AND RESEARCH METHODOLOGIES COULD BE
18 UTILIZED OR DEVELOPED TO ADDRESS
19 DEVELOPMENT/TRANSLATIONAL BOTTLENECKS?

20 INFRASTRUCTURE UTILIZATION, HOW WILL
21 CLINICAL, MANUFACTURING, AND PATIENT SUPPORT
22 INFRASTRUCTURES THAT WE ARE ALREADY IMPLEMENTING BE
23 OPTIMIZED TO SUPPORT THESE OBJECTIVES? ALL OUR
24 PROGRAMS ARE WORKING IN COGWHEEL. EVERYTHING IS
25 INTERACTIVE. SO WE NEED TO FIGURE OUT HOW ARE WE

BETH C. DRAIN, CA CSR NO. 7152

1 GOING TO LEVERAGE INTERNALLY.

2 AND THEN ALSO EXTERNALLY, FOSTERING
3 COLLABORATION. HOW CAN CIRM FOSTER COLLABORATION
4 BETWEEN ACADEMIC AND INDUSTRY STAKEHOLDERS TO
5 ADVANCE DEVELOPMENT AND UTILIZATION OF THE NOVEL
6 TECHNOLOGIES? NEXT SLIDE.

7 NOW, THIS SLIDE IS GOING OVER THE DATA
8 SOURCES. OUR ANALYSIS AND RECOMMENDATIONS HAVE BEEN
9 GUIDED BY A VERY ROBUST, COMPREHENSIVE DATASET. THE
10 APPROACH HAS BEEN BOTH COMPREHENSIVE AND METICULOUS,
11 ALSO TRYING NOT TO GO DOWN THE RABBIT HOLE BECAUSE
12 THERE'S ALWAYS A LOT OF DATA. ANYWHERE YOU LOOK,
13 YOU HAVE TO BE PRECISE. AND THAT'S WHY THE
14 QUESTIONS WERE VERY IMPORTANT. AND WE ENSURED THAT
15 EVERY STRATEGIC COLLABORATION WAS BACKED BY SOLID
16 DATA AND REAL-WORLD INSIGHT.

17 SO THIS PAGE SHOWS THE MAIN RESOURCES OF
18 DATA THAT WE HAVE CONSULTED INTERNALLY AND
19 EXTERNALLY. SO WE CONSULTED DATA FROM THE
20 CALIFORNIA DEPARTMENT OF PUBLIC HEALTH REPORTS, THE
21 CDC, AND THE CANCER REGISTRY REPORTS. THESE DATA
22 HERE INCLUDED SEVERAL YEARS LEADING UP TO 2023 AND
23 EARLY 2024 AND COVERED BOTH PREPANDEMIC AND PANDEMIC
24 PERIODS. THIS TIME FRAME ALLOWED FOR A
25 COMPREHENSIVE ANALYSIS THAT ACCOUNTS FOR POTENTIAL

BETH C. DRAIN, CA CSR NO. 7152

1 ABERRATIONS CAUSED BY UNUSUAL EVENTS SUCH AS
2 COVID-19, FOR EXAMPLE. WE TRIED TO TAKE A SNAPSHOT
3 WITH A MULTIYEAR APPROACH TO UNDERSTAND BROADER
4 TRENDS IN HEALTH DATA IN CALIFORNIA, WHICH ALLOWS US
5 TO ALIGN OUR STRATEGIES WITH THE CURRENT HEALTH
6 LANDSCAPE OF CALIFORNIA.

7 THE SECOND TYPE OF DATA WAS OUR INTERNAL
8 PORTFOLIO DATA ANALYSIS. BY EXAMINING OUR OWN
9 HISTORICAL DATA, WE GAIN INSIGHTS INTO THE OUTCOMES
10 AND EFFECTIVENESS OF PAST PROJECTS, WHICH IS
11 INVALUABLE FOR FUTURE PROJECT SELECTION AND FUNDING
12 DISTRIBUTION ALLOCATION AND FOCUS.

13 A VERY IMPORTANT PART OF OUR DATA WAS
14 INDEPENDENT RESEARCH BY PROJECT LEADS AND SCIENCE
15 OFFICERS. WE HAVE A VERY DEDICATED TEAM OF PROJECT
16 LEADS AND SCIENCE OFFICERS THAT UNDERTOOK A DEEP
17 DIVE INTO THE DIFFERENT ASPECTS OF OUR PORTFOLIO AND
18 LANDSCAPE ANALYSIS CAPTURED THROUGH DATABASES AS
19 WELL AS THROUGH PEER REVIEW PAPERS AND RESEARCH
20 ARTICLES. SOME OF THE DATA THAT WE GATHERED IS NOT
21 FOUND IN A REPORT OR A DATABASE. YOU HAVE TO GO
22 THROUGH ARTICLES AND ALSO THROUGH YOUR EXPERTISE.
23 SO THAT WAS ESSENTIALLY EXTRACTED BY THE SCIENCE
24 TEAM AT CIRM.

25 THE FOCUS SIDE OF THE PORTFOLIO ANALYSIS

BETH C. DRAIN, CA CSR NO. 7152

1 WAS ALSO ON CELL AND GENE THERAPY AMENABILITY,
2 BIOMARKER NEEDS, STEM CELL MODEL READINESS AND
3 NEEDS, AS WELL AS TECHNOLOGY AND BOTTLENECKS, GAPS.
4 BUT FOR TODAY'S DISCUSSION, THE FOCUS WILL NOT BE
5 CELL AND GENE THERAPY AMENABILITY. I WANT TO MAKE
6 SURE THAT IS CLEAR BECAUSE THIS IS COMING TO THE
7 AUGUST MEETING. TODAY'S FOCUS IS, AGAIN, TO FIGURE
8 OUT THE NEED FOR FURTHER DISCOVERY, BOTTLENECKS, AND
9 TECHNOLOGIES THAT WILL HELP US ADVANCE RESEARCH FOR
10 BOTH RARE AND PREVALENT DISEASES.

11 ANOTHER PART OF THE DATA THAT WE HAVE WAS
12 IQVIA'S CALIFORNIA DISEASE LANDSCAPE ANALYSIS OF
13 DISEASE STATES AFFECTING THE CALIFORNIA PATIENT
14 POPULATION. SO WE KIND OF LIKE WENT THROUGH TWO
15 APPROACHES TO THE CALIFORNIA PATIENT POPULATION, AND
16 IQVIA WAS ONE OF THEM. UTILIZING ANONYMIZED PATIENT
17 CLAIMS DATA FROM OVER 1.5 BILLION PATIENT
18 INTERACTIONS OVER THE PAST YEAR MATCHED TO ICD-10
19 MEDICAL CODES. THE ANALYSIS THAT IQVIA PROVIDED
20 BRINGS A DEEP UNDERSTANDING OF DISEASE PREVALENCE
21 AND MANAGEMENT TRENDS ACROSS CALIFORNIA. THE
22 INSIGHTS, WE ALSO GATHERED INSIGHTS FROM SUBJECT
23 MATTER EXPERTS AND HARVESTING ECONOMICS DATA WHICH
24 FURTHER REFINED OUR UNDERSTANDING OF WHERE STRATEGIC
25 INVESTMENT CAN BE MOST IMPACTFUL. THEY ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 GATHERED NIH FUNDING AND INDUSTRY LANDSCAPE DATA.
2 ANOTHER SOURCE OF FUNDING WAS THE
3 GLOBALDATA DATABASE THAT HAS PROVIDED US WITH A
4 BROADER INDUSTRY PERSPECTIVE. AND THAT INFORMATION
5 IS CRUCIAL TO ENSURE THAT OUR STRATEGY IS NOT ONLY
6 RESPONSIVE TO CURRENT NEEDS, BUT ALSO ANTICIPATORY
7 OF FUTURE SCIENTIFIC AND MARKET NEEDS AND SHIFTS.
8 AND ULTIMATELY WE ALREADY HEARD AT THE LAST NEURO
9 TASK FORCE/SCIENCE SUBCOMMITTEE THAT WE PRESENTED
10 THE SURVEY OF 670 NEUROSCIENTISTS ACROSS THE U.S.
11 MOSTLY THAT LED TO SOME PRELIMINARY RECOMMENDATIONS
12 THAT HAVE BEEN INCLUDED IN THE OVERALL GOALS AND
13 RECOMMENDATIONS THAT WE ARE PRESENTING TODAY.

14 SO TOGETHER THESE DATA SOURCES CREATE A
15 COMPREHENSIVE PICTURE THAT GUIDES THE STRATEGIC
16 ALLOCATION FRAMEWORK. AND AN IMPORTANT POINT TO
17 HIGHLIGHT IS THAT THE DATA THAT WE WILL BE SHOWING
18 HERE IS A SNAPSHOT REPRESENTATIVE OF ALL THE DATA
19 GATHERED THROUGH THESE DATA SOURCES WHICH COULD NOT
20 BE POSSIBLE TO SHOW IN A 1.5 HOUR OR EVEN TWO-HOUR
21 MEETING. SO NEXT SLIDE, SARA.

22 THIS SLIDE IS VERY IMPORTANT. THIS IS THE
23 PEOPLE THAT HAVE BEEN BEHIND THIS PRESENTATION. AS
24 I PRESENTED ON BEHALF OF CIRM AT THE BEGINNING BACK
25 IN MARCH DURING THE INITIAL STRATEGIC ALLOCATION

BETH C. DRAIN, CA CSR NO. 7152

1 FRAMEWORK PRESENTATION, WE PROVIDED AN OVERVIEW OF
2 HOW THE LEADERSHIP TEAM AT CIRM HAD BEEN DEVELOPING
3 THE GOALS, THE QUESTIONS, AND DATA NEEDED IN ORDER
4 TO MAKE THE RECOMMENDATIONS. WE WERE STILL DRAFTING
5 THINGS, BUT THERE WAS A PROCESS THAT WAS LED THROUGH
6 THE LEADERSHIP TEAM. BUT THERE IS ANOTHER VERY,
7 VERY ESSENTIAL GROUP OF PEOPLE WHO HAVE BEEN WORKING
8 VERY HARD OVER THE PAST 2.5, 3 MONTHS GATHERING AND
9 ANALYZING A LOT OF DATA. AND THOSE PEOPLE ARE SHOWN
10 HERE.

11 OUR DEDICATED TEAM OF PROJECT LEADS AND
12 SCIENCE OFFICERS UNDERTOOK A DEEP DIVE INTO THE
13 DIFFERENT ASPECTS OF OUR PORTFOLIO AND LANDSCAPE
14 ANALYSIS, WHICH IS CAPTURED THROUGH DATABASES. BUT,
15 AS I WAS SAYING EARLIER ON, MUCH OF THE DATA AS WELL
16 IS IN PEER REVIEW PAPERS AND RESEARCH ARTICLES,
17 WHICH IS NOT FOUND IN REPORTS, AND NEEDS TO BE
18 EXTRACTED THROUGH LITERATURE AND EXPERT KNOWLEDGE.
19 SO I WANT TO THANK ALL OF THEM BECAUSE, WITHOUT
20 THEM, THIS COULD NOT HAVE BEEN POSSIBLE.

21 AND NOW, I WOULD LIKE TO MAKE A POINT TO
22 ACKNOWLEDGE THREE KEY PEOPLE. DR. SARA TAYLOR AND
23 THOMAS TRINH WITHOUT WHOM THE COORDINATION OF TEAM
24 MEMBERS ANALYSIS AND PUTTING TOGETHER THIS
25 PRESENTATION COULD NOT HAVE BEEN POSSIBLE. AND ALSO

BETH C. DRAIN, CA CSR NO. 7152

1 SPECIALLY DR. SHYAM PATEL, WHO, BESIDES BEING A
2 MEMBER OF THE LEADERSHIP TEAM, LED AND COORDINATED
3 THE GLOBALDATA AND IQVIA EXTERNAL ANALYSIS EFFORTS
4 AND COORDINATED ALSO WITH SARA AND THOMAS. SO I
5 WANT TO THANK THEM. ALL THESE CONTRIBUTIONS WERE
6 ENGAGED IN CONJUNCTION WITH OUR REGULAR DUTIES,
7 UNDERSCORING THE DEDICATION AND HARD WORK OF OUR
8 TEAM. SO WE ARE PROFOUNDLY GRATEFUL FOR THEIR
9 COMMITMENT AND EXCELLENCE.

10 SO NOW LET'S GO TO THE FIRST SLIDE THAT
11 SHOWS THE DATA. THE NEXT FOUR SLIDES PRESENT A
12 SUMMARIZED SNAPSHOT OF OUR COMPREHENSIVE DATA
13 CRUCIAL FOR GUIDING THE STRATEGIC ALLOCATION
14 FRAMEWORK. I WOULD LIKE TO EMPHASIZE THAT THE
15 TABLES DISTILL KEY ELEMENTS FROM OUR BROADER DATASET
16 THAT HAS BEEN EXTENSIVELY GATHERED TO INFORM OUR
17 DECISION-MAKING PROCESS. WHILE THIS SUMMARY
18 PROVIDES VALUABLE INSIGHTS INTO OUR STRATEGIC
19 CONSIDERATIONS, PLEASE NOTE THAT IT REPRESENTS,
20 AGAIN, A SNAPSHOT OF IN-DEPTH ANALYSIS THAT WE HAVE
21 CONDUCTED.

22 AS I MENTIONED, IN ORDER TO ASSESS OUR
23 STRATEGIC FOCUS, WE FIRST TURNED OUR ATTENTION TO
24 THE MOST COMMON DISEASES AFFECTING CALIFORNIANS.
25 OUR ANALYSIS REVEALED A CRITICAL GAP IN OUR

BETH C. DRAIN, CA CSR NO. 7152

1 PORTFOLIO, LACK OF BALANCED INVESTMENT IN CONDITIONS
2 THAT ARE NOT ONLY WIDESPREAD, BUT ALSO CARRY
3 SIGNIFICANT SOCIOECONOMIC AND DISEASE BURDENS FOR
4 THE STATE'S POPULATION.

5 THIS HIGH LEVEL SUMMARY TABLE HIGHLIGHTS
6 DISEASE-BASED PATIENT COUNTS INDICATING THE SCALE OF
7 IMPACT FOR EACH CONDITION. THE SUMMARY IS NOT MEANT
8 TO SHOW THE DISEASES THAT WE ARE PROPOSING. THIS IS
9 IMPORTANT TO FUND, BUT AN IDEA OF WHAT THE DISEASES
10 THAT ARE AFFECTING MOST CALIFORNIANS NEED IN ORDER
11 TO ADVANCE AND ACCELERATE THE DEVELOPMENT OF
12 THERAPIES. AGAIN, THIS IS NOT WHAT WE ARE TRYING TO
13 FUND. IT'S JUST TO GIVE US AN IDEA OF HOW CAN WE
14 INVEST IN EARLIER RESEARCH THAT WILL HAVE AN IMPACT
15 FOR PREVALENT DISEASES IN CALIFORNIA, NOT ONLY EARLY
16 RESEARCH, BUT, AS YOU WILL SEE, TECHNOLOGY PLATFORMS
17 AS WELL. SO HOPEFULLY THIS IS CLEAR.

18 FOR INSTANCE, THERE ARE OVER 4.4 MILLION
19 CALIFORNIANS LIVING WITH HYPERTENSION. IT IS MOSTLY
20 A COMORBIDITY OF OTHER DISEASES. AND NEARLY 3
21 MILLION LIVING WITH TYPE 2 DIABETES. THESE NUMBERS
22 ARE NOT JUST STATISTICS. THEY REPRESENT A
23 SUBSTANTIAL PORTION OF OUR COMMUNITY WHOSE QUALITY
24 OF LIFE COULD POTENTIALLY BE DRAMATICALLY IMPROVED
25 THROUGH FOCUSED EFFORTS. HOWEVER, IN ORDER TO

BETH C. DRAIN, CA CSR NO. 7152

1 UNDERSTAND WHETHER CIRM'S EFFORTS SHOULD BE
2 PRIORITIZED THERE, WE ALSO LOOKED AT OTHER FACTORS
3 THAT COMBINED CAN HELP US EVALUATE THE IMPACT AND
4 FEASIBILITY OF OUR PROPOSED RECOMMENDATIONS.

5 FOR EXAMPLE, WE LOOKED INTO STEM CELL
6 MODELING AND WHETHER EFFECTIVE STEM CELL MODELS
7 EXIST FOR EACH DISEASE, WHICH IS PIVOTAL FOR
8 ADVANCING CIRM-FUNDED RESEARCH INTO DISEASE
9 MECHANISMS IF THAT IS WHAT WE PROPOSE. SO FOR
10 CONDITIONS LIKE TYPE 1 AND 2 DIABETES OR
11 OSTEOARTHRITIS, LIVER FIBROSIS, ALZHEIMER'S
12 DISEASE-RELATED DEMENTIAS, AND CARDIOVASCULAR
13 DISEASE, WE HAVE STEM CELL MODELS THAT ARE VALIDATED
14 AND COULD BE LEVERAGED FOR DISCOVERY OF DISEASE
15 MECHANISMS, NOVEL TARGETS, BIOMARKERS, AND LEVERAGE
16 OF THE CONSORTIA EXTERNAL DATA THROUGH COLLABORATIVE
17 EFFORTS TO ACCELERATE RESEARCH IN A FOCUSED WAY.
18 FOR OTHERS WE DIDN'T HAVE THAT.

19 ANOTHER ELEMENT THAT WE SUMMARIZED IN THE
20 TABLE IS THE BIOMARKER NEEDS TO ENHANCE EARLY
21 DETECTION AS WELL AS TREATMENT EFFECTIVENESS. THIS
22 IS PARTICULARLY CRUCIAL FOR CONDITIONS LIKE ASTHMA,
23 STROKE, ALZHEIMER'S DISEASE-RELATED DEMENTIAS, LIVER
24 FIBROSIS, AND OTHERS WHERE HIGH BIOMARKER NEEDS
25 ALIGN WITH OUR OBJECTIVES TO REFINE DIAGNOSTIC AND

1 THERAPEUTIC STRATEGIES.

2 THE ECONOMIC BURDEN OF THESE DISEASES WAS
3 ALSO EVALUATED. AND THESE FIGURES NOT ONLY
4 HIGHLIGHT THE FINANCIAL IMPACTS, BUT ALSO UNDERSCORE
5 WHERE OUR RESEARCH INVESTMENTS CAN HELP REDUCE COST
6 OVER TIME. NOW, THIS IS A GLOBAL ECONOMIC BURDEN.
7 SO IN SOME CASES, LIKE HYPERTENSION, PER-PATIENT IS
8 LESS THAN THE OVERALL -- IT MIGHT BE LARGER THAN
9 ANOTHER LIKE MULTIPLE SCLEROSIS. SO THAT'S JUST
10 SOMETHING TO CONSIDER.

11 FINALLY, WE CONSIDERED NIH 2023 SPENDING
12 AND COMPETITIVE INDUSTRY LANDSCAPE, WHICH IS NOT
13 SHOWN HERE BECAUSE IT WAS A LOT MORE COMPLEX TO ADD.
14 THE NIH SPENDING SHOWN HERE IS FOR ALL THE
15 MODALITIES AND ALL PIPELINE DEVELOPMENT AREAS FROM
16 DISCOVERY TO CLINICAL AND INFRASTRUCTURE. THIS IS
17 TO SAY THAT WE ARE PROBABLY NOT COMPARING APPLES TO
18 APPLES, BUT IT GIVES US AN INDICATION OF ALIGNMENT
19 AT A VERY HIGH LEVEL WITH SOME OF THE GAPS AND NEEDS
20 THAT MIGHT BE THERE. NEXT SLIDE.

21 THIS IS THE SECOND SLIDE SUMMARY TABLE
22 REPRESENTING THE MOST COMMON CANCERS AFFECTING
23 CALIFORNIANS. THIS IS NOT TO DRAW ATTENTION TO
24 CANCER. WE COULD NOT JUST DRAW ALL THE DATA INTO
25 ONE SLIDE ALONE. AS A REFERENCE FOR SCALE, IF YOU

BETH C. DRAIN, CA CSR NO. 7152

1 GO TO THE PREVIOUS SLIDE, SARA, TYPE 1 DIABETES
2 AFFECTED 290,000 PATIENTS LAST YEAR. SO NOW IF YOU
3 GO BACK TO THIS ONE, WE HAVE THE DIFFERENT CANCERS
4 THAT ARE AROUND THE LEVEL OF PATIENT COUNT LIKE TYPE
5 1 DIABETES. SO IF WE FOLLOW AT SCALE, IT COULD BE A
6 SMALLER BAR.

7 THIS TABLE SHOWS THE CANCERS AFFECTING
8 MOST CALIFORNIANS, SHOWING STEM CELL AMENABILITY.
9 ALL OF THEM ARE AMENABLE. ALL OF THEM HAVE MODELS.
10 AND BIOMARKER NEED AND SOCIOECONOMIC DISEASE BURDEN.
11 NOT SHOWN HERE IS THE CIRM CANCER PORTFOLIO WHICH IS
12 VERY LARGE. CIRM HAS INVESTED IN ABOUT 130 AWARDS
13 AND MORE THAN HALF A BILLION DOLLARS IN CANCER
14 RESEARCH WITH A BROAD RANGE OF SUBCATEGORIES. AND
15 THE LARGEST INVESTMENT IS ALSO THE LOW HANGING FRUIT
16 IN CELL AND GENE THERAPIES, WHICH IS
17 LEUKEMIA/LYMPHOMA FOLLOWED BY BRAIN CANCER.

18 THE NIH, AS A REFERENCE, SPENT IN CANCER
19 FOR THE DISEASE IS SHOWN HERE AS WELL, AND WE FOUND
20 THAT SOME OF THE CANCERS, SUCH AS MELANOMA, ARE
21 FUNDED AT THE LOWER LEVEL COMPARED WITH -- AND WE
22 COULDN'T FIND THE NUMBERS, BUT IT WAS A SMALL NUMBER
23 FOR MELANOMA.

24 NOW, JUST AS AN IDEA, NCI, THE NATIONAL
25 CANCER INSTITUTE, HAD AN APPROPRIATION BY 2020 FOR

BETH C. DRAIN, CA CSR NO. 7152

1 BUDGET OF 7.2 BILLION IN BUDGET.

2 SO INTRODUCING THE NEXT SLIDE, WE ALSO
3 EVALUATED WHERE THE INDUSTRY IS CONCENTRATING ITS
4 INVESTMENTS, PARTICULARLY IN THE CONTEXT OF CELL AND
5 GENE THERAPIES. ALTHOUGH THE DETAILED LANDSCAPE OF
6 INDUSTRY INVESTMENTS IS COMPLEX AND NOT FEASIBLE TO
7 BE DISPLAYED IN JUST ONE SLIDE, WE PERFORMED AN
8 EXTENSIVE ANALYSIS THAT HAS ALLOWED US TO IDENTIFY
9 KEY GAPS AND BOTTLENECKS WHERE CIRM CAN EFFECTIVELY
10 INTERVENE TO FACILITATE THE ADVANCEMENT OF CELL AND
11 GENE THERAPIES. AND BY UNDERSTANDING THESE AREAS,
12 WE ENSURE OUR INVESTMENTS WILL NOT JUST BE FILLING
13 CURRENT NEEDS, BUT ALSO STRATEGICALLY POSITIONED TO
14 ADDRESS FUTURE CHALLENGES IN THE HEALTHCARE
15 ECOSYSTEM.

16 SO THIS SLIDE PRESENTS A SUMMARY TABLE OF
17 TECHNOLOGY GAPS IN THE FIELD OF REGENERATIVE
18 MEDICINE FOR THE MOST COMMON DISEASES AFFECTING
19 CALIFORNIANS. THAT, AGAIN, DOESN'T MEAN THAT WE
20 WANT TO FOCUS ON THOSE DISEASES. WE ARE JUST TRYING
21 TO EXTRACT WHAT ARE THE TECHNOLOGY GAPS AND
22 BOTTLENECKS THAT THESE DISEASES PRESENT THAT WE CAN
23 THEN FIGURE OUT A WAY TO HAVE A FOCUSED APPROACH IN
24 TERMS OF IN THIS CASE TECHNOLOGICAL PLATFORMS. THE
25 SELECTED CANCERS ARE ON THE BOTTOM. WE PULLED THEM

BETH C. DRAIN, CA CSR NO. 7152

1 ALTOGETHER, AND THEY INCLUDE THE ONES THAT WE
2 PREVIOUSLY SHOWED IN THE PAST SLIDE.

3 SO BY UNDERSTANDING THESE AREAS, WE ENSURE
4 THAT OUR INVESTMENTS ARE JUST NOT FILLING CURRENT
5 NEEDS, BUT WE WILL BE POSITIONED TO ADDRESS FUTURE
6 CHALLENGES. EACH CRITERION IS MARKED WITH A
7 CHECKMARK, AND IT MEANS THAT THERE IS A GAP IN THAT
8 TECHNOLOGY FOR THAT DISEASE.

9 AND IN GREEN BACKGROUND, THE TWO COLUMNS
10 ARE TECHNOLOGY GAPS THAT ARE COMMON TO MANY DISEASES
11 AFFECTING CALIFORNIANS. THESE AREAS COULD BE SOME
12 OF THE ONES THAT WE COULD PROPOSE AS SPECIFIC FOCUS,
13 SOME LIKE DELIVERY/SPECIFICITY OF METHODS AND
14 EFFECTIVENESS OF DELIVERY OF THE CELLS TO TARGET
15 AREA OR SYSTEMS IN THE BODY OR SCALABLE
16 MANUFACTURING. THE NEXT ONE, NEXT SLIDE.

17 IN THIS SLIDE WE IDENTIFY THE MAJOR
18 KNOWLEDGE GAPS THAT CURRENTLY LIMIT OUR ABILITY TO
19 EFFECTIVELY TREAT A RANGE OF DISEASES WITH
20 REGENERATIVE MEDICINE TECHNIQUES. AND FOR EACH
21 DISEASE LISTED, A CHECKMARK IDENTIFIES, AGAIN,
22 SPECIFIC AREAS WHERE THE UNDERSTANDING IS
23 INSUFFICIENT AND REPRESENTS A BOTTLENECK IN OUR
24 ABILITY TO DEVELOP EFFECTIVE THERAPIES. SO IN
25 GENERAL, WE CAN SEE THREE VERY COMMON KNOWLEDGE GAPS

BETH C. DRAIN, CA CSR NO. 7152

1 FOR ALL THESE DISEASES THAT ARE COMMON TO
2 CALIFORNIANS.

3 DISEASE HETEROGENEITY IS ONE OF THEM,
4 BASICALLY THE VARIABILITY WITHIN THE DISEASE
5 CATEGORY THAT CAN AFFECT TREATMENT RESPONSE AND
6 EFFICACY. SECOND ONE IS DISEASE MECHANISM. AND THE
7 THIRD ONE IS IMMUNE RESPONSE.

8 SO AS A REMINDER, THIS WAS A SNAPSHOT OF A
9 LOT OF DATA LEADING TO RECOMMENDATIONS THAT WILL BE
10 INTRODUCED IN THE NEXT FEW SLIDES.

11 SO LET'S GO NOW INTO THE RECOMMENDATIONS.
12 HOW ARE WE WITH TIME? IT'S 9:30. I SHOULD BE
13 FINISHED IN THE NEXT 15 MINUTES. IF I CAN, I'LL GO
14 VERY FAST.

15 SO WE'VE ALL SEEN THIS GOAL. LET'S GO TO
16 THE NEXT SLIDE. BASED ON THE DATA WITH REGARDS TO
17 THE FIRST GOAL, OUR FIRST RECOMMENDATION IS TO
18 INCREASE RESEARCH TO UNCOVER CROSS-DISEASE
19 MECHANISMS AND INTERACTIONS, AIMING FOR
20 BREAKTHROUGHS IN IDENTIFYING NEW DISEASE MECHANISMS,
21 TARGETS, AND BIOMARKERS, LEVERAGING DATA ACROSS
22 DISEASES AND WITH OTHER CONSORTIA WHICH THIS COULD
23 BE APPLICABLE TO BOTH PREVALENT AND RARE DISEASES.

24 THE OBJECTIVE HERE COULD BE TO ENHANCE
25 RESEARCH TO EXPLORE CROSS-DISEASE MECHANISMS,

BETH C. DRAIN, CA CSR NO. 7152

1 SYSTEMS AND INTERACTIONS, AIMING FOR BREAKTHROUGHS
2 IN NEW DISEASE MECHANISMS, TARGETS, AND BIOMARKERS.
3 AND WE WOULD UTILIZE CROSS-DISEASE DATA AND
4 COLLABORATE WITH VARIOUS -- CROSS-FUNDED RESEARCHERS
5 IN CALIFORNIA BY CIRM AND OTHERS, ALSO WITH OTHER
6 CONSORTIA AND PROJECTS TO MAXIMIZE OUR RESEARCH
7 OUTCOMES. CLICK NEXT.

8 SO THE RECOMMENDATION IS TO SUPPORT
9 COMPREHENSIVE DISCOVERY RESEARCH THROUGH STRUCTURED
10 INITIATIVES SUCH AS THE ONE THAT WE DEVELOPED OVER
11 THE PAST YEAR THAT WE HAVE PILOTED WITH THE REMIND
12 PROGRAM, BUT THAT WE COULD EXTEND TO OUR DISCOVERY
13 RESEARCH. AND THE APPROACH COULD BE TO ENCOURAGE
14 COLLABORATIVE, MULTIDISCIPLINARY INNOVATION,
15 LEVERAGING STEM CELL AND GENETIC RESEARCH ACROSS
16 DIVERSE DISCIPLINES AND DISEASE INDICATIONS. NEXT
17 SLIDE.

18 IN ORDER TO ACHIEVE THE CROSS-DISEASE DATA
19 AND COLLABORATION -- YOU CAN CLICK THE NEXT. THANK
20 YOU, SARA -- WE ARE PROPOSING A SECOND
21 RECOMMENDATION THAT COULD MATERIALIZE IN THE FORM OF
22 ESTABLISHING A DATA COORDINATING AND MANAGEMENT
23 CENTER, A DCMC. THE OBJECTIVE HERE COULD BE TO
24 STREAMLINE THE DATA MANAGEMENT TO ENHANCE EACH
25 UTILITY ACROSS DISEASE DATA AND ALSO TO LEVERAGE

BETH C. DRAIN, CA CSR NO. 7152

1 OTHER DATA. AND THE APPROACH COULD BE TO FUND AND
2 DEVELOP A CENTRAL HUB FOR DATA COORDINATION,
3 FACILITATING BETTER INTEGRATION WITH CONSORTIA AND
4 WITHIN CIRM-FUNDED RESEARCH.

5 THIS SECOND RECOMMENDATION HAS AN EMPHASIS
6 ON VALIDATION AND REPRODUCIBILITY OF RESEARCH
7 RESULTS, WHICH IS, WE THINK, INDEED A PIVOTAL NEED
8 TO MOVE RESEARCH THAT WILL ULTIMATELY LEAD TO
9 SUCCESSFUL THERAPIES. AND THIS WAS A RECOMMENDATION
10 FROM OUR NEW CO-CHAIRS OF THE NEURO TASK FORCE, DR.
11 PAT LEVITT AND DR. CAROLYN MELTZER, WHO WHEN WE WERE
12 DISCUSSING SOME OF THIS, THEY BROUGHT THIS UP. AND
13 WE WOULD LIKE TO THANK THEM. ALSO, WE WOULD LIKE TO
14 WELCOME THEM AS NEW CO-CHAIRS.

15 NEXT SLIDE IS THE SECOND GOAL. AND WE CAN
16 GO NOW INTO THE NEXT SLIDE, AND YOU CAN CLICK IT AS
17 WELL SO THAT WE CAN JUST PRESENT. SO BROAD
18 APPLICABILITY OF CELL AND GENE THERAPIES FOR RARE
19 AND PREVALENT DISEASES WILL REQUIRE, AS WE ALREADY
20 SAID, IMPLEMENTATION OF NEW TECHNOLOGIES AND
21 TECHNOLOGY PLATFORMS THAT CAN ENSURE THE SAFETY,
22 EFFICACY, AND RELIABILITY OF MULTIPLE CELL AND GENE
23 THERAPIES.

24 CURRENTLY OUR CIRM PROGRAMS FOCUS THROUGH
25 DIFFERENT PROGRAMS ON SUPPORTING TECHNOLOGIES IN THE

BETH C. DRAIN, CA CSR NO. 7152

1 CONTEXT OF SPECIFIC THERAPEUTIC AREA CANDIDATE
2 PROJECTS OR ALSO OFFERS LIMITED FUNDING FOR EARLY
3 STAGE DISCOVERY AND TOOL DEVELOPMENT. AND THIS
4 CURRENT APPROACH HAS NOT EFFECTIVELY ENCOURAGED
5 MULTIPLE STAKEHOLDER COLLABORATIONS BETWEEN ACADEMIA
6 AND INDUSTRY OR OTHERS, WHICH WE THINK, FROM WHAT
7 WE'VE SEEN, IS CRUCIAL FOR THE TRANSLABILITY AND
8 DEVELOPMENT AND SUCCESS OF THESE TECHNOLOGIES.

9 THE PROPOSED RECOMMENDATION HERE COULD AIM
10 TO REFINE CIRM'S STRATEGIC APPROACH BY ADDRESSING
11 SPECIFIC LIMITATIONS THAT WE HAVE IDENTIFIED. SO
12 THE PROPOSAL WOULD BE TO INVEST IN MULTIDISCIPLINARY
13 TECHNOLOGY PLATFORM-FOCUSED INITIATIVES WITH THE
14 OBJECTIVE TO EXPEDITE THE DEVELOPMENT AND
15 APPLICATION OF THESE TECHNOLOGIES THAT ENHANCE THE
16 SAFETY, EFFICACY, OR QUALITY OF CELL AND GENE
17 THERAPIES. AND THE APPROACH COULD BE TO ENCOURAGE
18 MULTIDISCIPLINARY, MULTISTAKEHOLDER
19 ACADEMIA/INDUSTRY COLLABORATIONS TO DEVELOP PLATFORM
20 TECHNOLOGIES THAT BROADLY IMPACT PRECLINICAL AND
21 DEVELOPMENT OF MULTIPLE THERAPIES FOR MULTIPLE
22 DISEASES.

23 THIS COULD REQUIRE A PILOT INITIATIVE, AN
24 INFRASTRUCTURE TECHNOLOGY PLATFORM PROGRAM THAT
25 COULD BRIDGE THE GAP BETWEEN RESEARCH AND

BETH C. DRAIN, CA CSR NO. 7152

1 COMMERCIALIZATION BY FOSTERING PARTNERSHIPS BETWEEN
2 THESE ACADEMIC RESEARCHERS AND INDUSTRY RESEARCHERS
3 AND PROFESSIONALS. AND THE APPROACH COULD BE TO
4 SUPPORT MULTIPLE STAKEHOLDER TECHNOLOGY INCUBATION
5 PROGRAMS TO ACHIEVE, DEFINE TECHNOLOGY READINESS
6 LEVELS, THEREBY FACILITATING RAPID APPLICATION IN
7 CELL AND GENE THERAPY DEVELOPMENT.

8 THIS COULD BE A NEW PROGRAM. THIS IS NOT
9 EVEN A CONCEPT YET. THIS IS THE IDEA. THE
10 RECOMMENDATION FOR DISCUSSING AND PRESSURE TESTING,
11 I JUST WANT TO MAKE THIS CLEAR BECAUSE WE HAVE SOME
12 IDEAS, BUT IT'S NOT FULLY COOKED BY ANY MEANS. NEXT
13 SLIDE.

14 THIS IS ONLY TO SHOW THE PROPOSED CHANGES
15 FROM WHAT WE HAVE RIGHT NOW FOR GOAL 1 TO WHAT WE
16 COULD BE PROPOSING AND THAT COULD MEAN. SO RIGHT
17 NOW DOES NOT MEAN THAT WE'RE NOT DOING IT WELL, BUT
18 WE CAN DO IT BETTER. RIGHT? SO WE DO A LOT OF
19 FOUNDATIONAL RESEARCH THROUGH THE DISC-0. IT DOES
20 NOT HAVE DISEASE MECHANISTIC FOCUS. IT'S FOCUSED ON
21 SMALL COLLABORATIONS OF ONE OR TWO INVESTIGATORS.
22 THERE'S NO MULTIDISCIPLINARITY. WE DO NOT LEVERAGE
23 EXTERNAL OR EVEN RESOURCES AMONGST US. WE DON'T
24 LEVERAGE DIFFERENT RESEARCHERS THAT WE ARE FUNDING.

25 SO THE PROPOSED PLAN OR INITIATIVE GOING

BETH C. DRAIN, CA CSR NO. 7152

1 THROUGH DISC4, DISC5, SO EXTENDING THE WHOLE NEW
2 PILOT CONCEPT, PROGRAM THAT WE HAVE STARTED TO
3 INCLUDE NOW ALL DISEASE AREAS COULD ALLOW US TO DO
4 THAT THROUGH LARGE COLLABORATIVE PROJECTS FOCUSED ON
5 DISEASE MECHANISMS, LEVERAGING RESOURCES BETWEEN THE
6 DIFFERENT DISEASES THAT CIRM FUNDS, AND ALSO
7 FOCUSING NOW THAT IF WE HAVE POWER WITH THE DATA, WE
8 CAN THEN TRY TO FIGURE OUT WHAT ARE PARTNERSHIPS AND
9 EXTERNAL RESOURCES WE CAN WORK WITH.

10 AND DISC5 COULD BE MORE SMALL. NOT
11 EVERYBODY HAS A LARGE COLLABORATIVE PROJECT. SOME
12 PEOPLE NEED FUNDING FOR EXPLORATORY PROJECTS, MORE
13 RISKY, BUT POTENTIALLY MORE REWARDING, FOCUSED ON
14 DISEASE MECHANISMS.

15 NOW, TO DO ALL THAT, WE ALSO NEED DATA
16 SHARING, BUT ALSO COORDINATION AND MANAGEMENT OF
17 THAT DATA. AND THE DCMC IS NOT AN ISOLATED THING.
18 IT'S BASICALLY THE NEXT STEP IN A DATA
19 INFRASTRUCTURE PROGRAM THAT WE HAVE BEEN PUTTING
20 TOGETHER SINCE WE STARTED THE SECOND PHASE OF CIRM,
21 THE CIRM 3.0. SO WE STARTED WITH DATA SHARING AND
22 MANAGEMENT PLANS. SO RIGHT NOW, SAME AS WITH NIH
23 AND ALIGNED WITH NIH, WE HAVE REQUIREMENTS TO DETAIL
24 DATA SHARING PLANS AND ALL THE METADATA. WE HAVE
25 NOW, AS YOU VOTED THROUGH THE GOVERNANCE

BETH C. DRAIN, CA CSR NO. 7152

1 SUBCOMMITTEE, A CONTRACT TO EXTEND WITH RANCHO
2 BIOSCIENCES. THEY ARE DEVELOPING THIS DATA
3 DASHBOARD. AND THE NEXT PHASE COULD BE HAVING A
4 DATA COORDINATION AND MANAGEMENT CENTER WITH A
5 KNOWLEDGE PLATFORM THAT COULD ENABLE AND ENCOURAGE
6 DATA REUSE AND INTEGRATION WITH EXTERNAL RESOURCES.

7 THE NEXT SLIDE SHOWS HOW WE COULD
8 TRANSITION FOR THE SECOND GOAL RECOMMENDATIONS FROM
9 THE BROAD APPROACH THAT WE HAVE NOW WHERE CERTAIN
10 TECHNOLOGY ASPECTS WERE ADDRESSED THROUGH DIFFERENT
11 PROGRAMS, DISC2, TRAN1, 2, 3, 4, CLIN1 WITHOUT
12 DISCONTINUING FOCUS. WE COULD GO NOW TO A MORE
13 TARGETED STRATEGY. AND THIS NEW DIRECTION LEVERAGES
14 MULTIDISCIPLINARY COLLABORATIONS AND INDUSTRY
15 PARTNERSHIPS TO ENHANCE SPECIFICITY AND
16 EFFECTIVENESS IN OUR PROJECTS, ACCELERATE THE
17 DEVELOPMENT AND VALIDATION OF TECHNOLOGIES THAT WILL
18 SPECIFICALLY ADVANCE SAFETY, EFFICACY, AND QUALITY
19 OF CELL AND GENE THERAPIES. NEXT SLIDE.

20 NOW WE ARE GETTING -- I THINK WE WILL MAKE
21 IT IN HALF OF THE MEETING. SO WE DID WELL.

22 DISCUSSION AND NEXT STEPS. THE TIMELINE
23 THAT WE HAVE, THIS SLIDE SHOWS THAT TODAY WE WILL BE
24 REVIEWING AND DISCUSSING THESE POTENTIAL
25 RECOMMENDATIONS AND ANSWERING QUESTIONS. THE NEXT

BETH C. DRAIN, CA CSR NO. 7152

1 TIME THAT WE WOULD HEAR ABOUT THESE GOALS 1 AND 2
2 COULD BE AT THE AUGUST NEURO TASK FORCE/SCIENCE
3 SUBCOMMITTEE JOINT MEETING WHERE WE WILL BE
4 INTRODUCING GOALS 3 AND 4. THAT'S GOING TO BE A
5 VERY LONG MEETING. IT NEEDS TO BE BECAUSE IT'S A
6 VERY PACKED MEETING. BUT WE WILL ALSO BE PRESENTING
7 ANY UPDATES ON WHAT WE HAVE DISCUSSED TODAY.

8 SO I DON'T WANT TO TAKE MORE TIME. WE
9 WILL SEND THESE SLIDES. SO THE NEXT SLIDE IS JUST
10 SHOWING THE TIMELINE AND WHERE THINGS ARE. ON
11 AUGUST 7TH WE WILL HAVE A MEETING WITH THE
12 ACCESSIBILITY AND AFFORDABILITY WORKING GROUP TO GO
13 OVER GOAL NO. 5. AND THEN AT THE 16TH MEETING, WE
14 WILL HAVE GOALS 3 AND 4 WHICH ARE THROUGH CELL AND
15 GENE THERAPY FOCUSED GOALS. AND I THINK THAT'S IT
16 FROM OUR END IN TERMS OF THE PRESENTATION. THANK
17 YOU VERY MUCH FOR LISTENING AND APPRECIATE IT.

18 CHAIRMAN FISCHER-COLBRIE: GREAT. THANK
19 YOU, ROSA, FOR AN EXCELLENT PRESENTATION. AND I
20 WOULD LIKE TO CONSIDER AND DISCUSS HERE, BUT LET'S
21 OPEN IT UP FOR QUESTIONS AND COMMENTS BY THE GROUP.
22 I SEE PAT LEVITT.

23 DR. LEVITT: YEAH. SO, ROSA, I MEAN I'VE
24 HEARD THIS BEFORE, AND IT'S BREATHTAKING EACH TIME I
25 HEAR IT. THERE'S A TON OF WORK THAT WAS DONE BY THE

BETH C. DRAIN, CA CSR NO. 7152

1 TEAM. CONGRATULATIONS ON PUTTING THIS SLIDE UP THAT
2 LISTS ALL OF THE AMAZING TEAM MEMBERS THAT WORKED IN
3 A REALLY INTEGRATED WAY TO GENERATE THIS. AND FOR
4 THOSE OF US WHO DO DATA ANALYSES WOULD KNOW THAT
5 THAT WAS AN INCREDIBLY HEAVY LIFT.

6 SO I HAVE TWO THINGS TO BRING UP. THE
7 FIRST IS IN THE ACADEMIA/INDUSTRY PARTNERSHIPS, ONE
8 OF THE THINGS THAT WE'VE TALKED ABOUT
9 PREVIOUSLY -- MARK HAS A FONDNESS FOR WHEN I MENTION
10 THIS -- ONE OF THE MAJOR BOTTLENECKS IS
11 REPRODUCIBILITY FROM EXPERIMENT STUDIES THAT REPORT
12 FROM ACADEMIA THAT THEN GET TRANSFERRED AND
13 TRANSLATED TO INDUSTRY THAT CAN'T REPRODUCE THE
14 DATA. THEY CAN'T REPRODUCE THE EXPERIMENTS. IT
15 HAPPENS FAR MORE ON OFTEN THAN THEY CAN REPRODUCE,
16 AND THAT'S A HUGE BOTTLENECK. IT'S BEEN WRITTEN
17 ABOUT. I THINK, MARK, YOU'VE WRITTEN ABOUT IT. AND
18 IT'S A HUGE BOTTLENECK.

19 SO I'M WONDERING WHETHER THE
20 ACADEMIA/INDUSTRY PARTNERSHIPS, WHICH ARE NOT
21 MENTIONED IN THE DISC4 AND DISC5, MIGHT BE
22 CONSIDERED THERE AS A WAY OF GETTING INDUSTRY TO
23 WORK WITH ACADEMIA ON BOARD FOR THESE VERY TARGETED,
24 FOCUSED DISC GRANTS ON DISEASE MECHANISMS SO THAT WE
25 SKIP THE STEP IN WHICH INDUSTRY THEN SPENDS TWO

BETH C. DRAIN, CA CSR NO. 7152

1 YEARS TRYING TO REPRODUCE SOMETHING THAT THEY CAN'T
2 REPRODUCE AND THEN THEY GIVE UP. UNDERSTANDABLY,
3 THEY GIVE UP. SO THAT'S ONE COMMENT TO CONSIDER,
4 THAT THOSE PARTNERSHIPS MAY BE REALLY IMPORTANT FOR
5 ADDRESSING THIS REPRODUCIBILITY BOTTLENECK. AND
6 OTHERS MAY FEEL THE SAME WAY.

7 THE OTHER IS THE EFFORT FOR NEW
8 STREAMLINING DATA MANAGEMENT WHERE YOU HAVE THIS
9 INTEGRATED BETWEEN THOSE WHO ARE CIRM-FUNDED AND THE
10 EXTERNAL SOURCES. I ASSUME EARLY ON THERE'S GOING
11 TO BE WRITTEN -- THERE WILL BE MOU'S TO MAKE SURE
12 THAT WE GET THE EXTERNAL AGREEMENTS THAT THEY'RE
13 GOING TO PARTICIPATE IN THIS.

14 BUT ONE THING I WAS THINKING ABOUT FOR THE
15 FIRST TIME, BECAUSE I'VE HEARD THIS BEFORE AND
16 HADN'T THOUGHT ABOUT IT, IS WHETHER WE WOULD
17 CONSIDER DATA SCIENCE GRANTS THAT WOULD BE LINKED TO
18 UTILIZING THAT PLATFORM WITH ENORMOUS AMOUNTS OF
19 DATA RATHER THAN LEAVING IT TO THE SCIENTISTS TO
20 THEN GO IN AND DO THE -- OR FIGURE OUT HOW THEY'RE
21 GOING TO COLLABORATE WITH THE DATA SCIENTISTS. WHY
22 NOT HAVE DATA SCIENCE-FOCUSED GRANTS THAT WOULD
23 UTILIZE WHERE -- THE CHALLENGE WOULD BE TO UTILIZE
24 THAT PLATFORM TO THEN REALLY ANALYZE THE DATA IN
25 WAYS THAT WE ARE NOT DOING NOW TO REALLY TAKE

1 ADVANTAGE OF THAT.

2 AND SO THAT WOULD NOT MAKE A HUGE DENT IN
3 THE PORTFOLIO, BUT WOULD BRING DATA SCIENTISTS INTO
4 THE FOLD TO GREATER LEVERAGE ON WHAT WE'RE TRYING TO
5 DO. I THINK IT'S A GREAT EFFORT TO INTEGRATE IN
6 THIS WAY. YOU'VE BEEN TALKING ABOUT IT FOR -- WE'VE
7 BEEN TALKING ABOUT IT FOR SEVERAL YEARS AT LEAST
8 SINCE I'VE BEEN ON THE BOARD. SO MAYBE THINK ABOUT
9 THAT AS ANOTHER POSSIBLE MECHANISM FOR FUNDING.
10 I'LL STOP THERE.

11 DR. CANET-AVILES: FANTASTIC POINTS AS
12 ALWAYS, PAT. CAROLYN, YOU HAVE YOUR HAND RAISED.
13 DO YOU MIND IF I ANSWER THESE?

14 SO I THINK THE REPRODUCIBILITY BOTTLENECK,
15 AND I COULD SEE MY COLLEAGUE SHYAM, HE WAS DOING
16 LIKE THIS WITH HIS HEAD, ON THE INDUSTRY AND
17 ACADEMIA. I ACTUALLY AND I'M IMAGINING YOU'RE ALSO
18 AGREEING, SHYAM, I THINK THAT THIS IS A VERY GOOD
19 IDEA THAT YOU ARE PROPOSING BECAUSE -- AND IT
20 REMINDED ME OF THE AMP PARTNERSHIPS, THE ACCELERATED
21 MEDICINE PARTNERSHIPS, AT THE NIH THAT WE WERE
22 DEVELOPING IN DIFFERENT DISEASES. SO THAT IS
23 SOMETHING -- AND IN OUR CASE WE COULD HAVE THE
24 FREEDOM TO BRING IN INDUSTRY, ANYBODY THAT WANTS TO
25 COME BECAUSE WE ARE OFFERING FUNDING. IT'S NOT LIKE

BETH C. DRAIN, CA CSR NO. 7152

1 WE ARE GATHERING PEOPLE TOGETHER AND ASKING THEM FOR
2 THE FUNDING.

3 SO I THINK THAT'S A GOOD IDEA, AND IT
4 COULD BE A REQUIREMENT THAT WE COULD ADD IN THE
5 PROGRAM ELIGIBILITY, THAT THERE HAS TO BE A --
6 MULTIDISCIPLINARY HAS TO COME ALSO, THE INDUSTRY
7 PARTNER, THAT WILL PROVIDE -- FROM ONE SIDE WE WILL
8 HAVE THE ACADEMIC, BUT THEN THE INDUSTRY PARTNER IS
9 GOING TO COME WITH FOCUS ON REFINING AND HOW THIS
10 COULD MOVE THE NEEDLE AND ALSO MAKING SURE THAT WE
11 CAN MAKE IT REPRODUCIBLE. SO YEAH, DEFINITELY.

12 DR. LEVITT: AND THEY CAN BE INVOLVED IN
13 STUDY DESIGN ISSUES THAT --

14 DR. CANET-AVILES: YEAH.

15 DR. LEVITT: -- THAT SEEM TO BE A MAJOR
16 CHALLENGE.

17 DR. CANET-AVILES: YES.

18 DR. LEVITT: -- WHY REPRODUCIBILITY IS SO
19 DIFFICULT. BECAUSE THE STUDY DESIGNS WORK WELL IN
20 ACADEMIA, BUT NOT NECESSARILY WORK WHEN YOU TRY TO
21 SCALE. SO THAT'S ONE THING, YEAH.

22 DR. CANET-AVILES: AND THE FEASIBILITY
23 PROBABLY TO KNOW WHETHER THE RESULT WILL BE
24 APPLICABLE IF THEY HAVE THIS DISEASE AREA IN THEIR
25 FOCUS.

BETH C. DRAIN, CA CSR NO. 7152

1 IN TERMS OF EXTERNAL DATA, THE DATA
2 SCIENCE GRANTS TO UTILIZE DATA, I THINK
3 THAT'S -- THAT COULD BE PART OF WHAT -- I HADN'T
4 THOUGHT ABOUT IT, BUT THIS IS SOMETHING THAT COULD
5 COME, IF WE THINK IN A MODULAR WAY, WE FIRST HAVE
6 THE DATA COORDINATING MANAGEMENT CENTER, AND THEN WE
7 HAVE A SPECIFIC COLLABORATIVE SCIENCE GRANT. BUT
8 FOR THAT THE BOTTLENECK IS THE DATA AND THE POWER OF
9 THE DATA. IF WE LOOK AT, SAY, PARKINSON'S, WE DON'T
10 HAVE ENOUGH DATA GENERATED AT CIRM. RIGHT? WE ARE
11 GOING TO HAVE THE FIRST POWERED DATA HOPEFULLY WITH
12 THE REMIND PROGRAM BECAUSE IN AUGUST WE'LL HAVE THE
13 ARS, AND YOU WILL SEE THAT MOSTLY WE GOT TWO MAIN
14 DISEASES. SO WE MIGHT BE ABLE TO GENERATE ENOUGH
15 DATA THERE THAT WE CAN GO AND COLLABORATE AND HAVE
16 THIS APPROACH.

17 SO I'LL TAKE THAT INTO THE NOTES OF WHEN
18 WE PROPOSE IT, IF THE BOARD AGREES. WHEN WE PROPOSE
19 IT, WE SHOW A PHASED APPROACH FOR THIS DATA
20 COORDINATING MANAGEMENT CENTER WITH DATA SCIENCE
21 GRANTS TO UTILIZE THE DATA.

22 AND IN TERMS OF EXTERNAL DATA, DEFINITELY
23 WE WOULD NEED MOU'S WITH DIFFERENT PARTNERS. WE ARE
24 ALREADY TALKING WITH DIFFERENT RELEVANT NIH
25 INSTITUTES. AND WE WOULD HAVE TO TALK TO OTHERS AS

BETH C. DRAIN, CA CSR NO. 7152

1 WELL, NOT ONLY LIKE MICHAEL J. FOX OR ALZHEIMER'S
2 DISEASE ASSOCIATION OR OTHERS, RIGHT, BECAUSE
3 THERE'S ALSO THE ADDI, THE ALZHEIMER'S DISEASE
4 DISCOVERY INITIATIVE, OR THE ALS. RIGHT? SO THERE
5 IS DIFFERENT PLACES WHERE WE COULD GO, BUT WE WOULD
6 HAVE TO FIGURE OUT WHAT DO WE HAVE IN TERMS OF POWER
7 IN DATA AND WHAT ARE THE BOTTLENECKS, WHERE DO WE
8 WANT TO GO. WE WILL HAVE TO CHOOSE. BUT THAT COULD
9 BE PART OF THE PROPOSAL IN THE CONCEPT. SO THANK
10 YOU. THAT'S REALLY HELPFUL, RELEVANT.

11 DR. MELTZER: ROSA, THANK YOU SO MUCH.
12 JUST TO ECHO PAT'S COMMENTS, THE TEAM HAS DONE AN
13 INCREDIBLE JOB WITH SYNTHESIZING DATA. I DO THINK
14 IT'S WORTH SPENDING MORE TIME AT SOME POINT ON SLIDE
15 20 WHERE YOU LOOK AT THE DISEASE PATIENT IMPACT,
16 BIOMARKER NEED, ECONOMIC BURDEN AS WE CONSIDER AND
17 WHERE BIOMARKERS MAY BE AVAILABLE. ALSO MAYBE
18 THINKING ABOUT FUTURE TRENDS OF DISEASE, AGING
19 POPULATION, ALZHEIMER'S, HEALTH DISPARITIES, AND
20 INCREASING CLIMATE CHANGE. THE THINGS LIKE ASTHMA
21 BECOMING MORE PREVALENT. SO THERE'S PROBABLY SOME
22 WAYS TO PROJECT INCREASING OR DECREASING IMPACT OF
23 SOME OF THE DISEASES AND THEN ADDED WITH THE
24 TECHNOLOGY GAPS.

25 I ALSO REALLY LOVE THE IDEA OF HAVING MORE

BETH C. DRAIN, CA CSR NO. 7152

1 MULTIDISCIPLINARY ACADEMIC/INDUSTRY PARTNERSHIPS.
2 REPRODUCIBILITY IS A HUGE ISSUE, BUT ALSO THERE ARE
3 MULTIPLE OTHER WAYS THAT INTEGRATED PARTNERSHIPS CAN
4 STREAMLINE TRANSLATION, STUDY DESIGN, POWER,
5 POPULATION, HOW THINGS ARE PLANNED FROM THE
6 BEGINNING, AND THE ACADEMIC EXPERIMENTS THAT ARE AT
7 THAT PHASE. SO SO MUCH GREAT WORK TO DO. THANK
8 YOU.

9 DR. CANET-AVILES: THANK YOU. THOSE ARE
10 FANTASTIC COMMENTS. THANK YOU, CAROLYN.

11 SHLOMO. YOU'RE MUTED.

12 DR. MELMED: THANK YOU. AND ONCE AGAIN,
13 KUDOS TO YOU AND THE TEAM. SUPER, SUPER
14 PRESENTATION. REALLY CONGRATULATIONS. WE CAN ALL
15 BE PROUD OF YOUR WORK.

16 I WANT TO COME BACK TO A COMMENT WHICH PAT
17 MADE, I THINK, LAST WEEK OR TWO WEEKS AGO IN A
18 MEETING. AND THAT IS I THINK WE HAVE TO BE
19 SUFFICIENTLY FLEXIBLE IN OUR THINKING AS TO WHAT MAY
20 HAPPEN WITH NIH. AND IF THE CURRENT NIH PROPOSAL
21 DOES GO THROUGH AS PROPOSED, WE'RE GOING TO FACE A
22 TECTONIC CHANGE IN OUR ACADEMIC MEDICINE AND
23 RESEARCHERS SUPPORTED. AND I WOULD WONDER IF THERE
24 SHOULD BE SOME ROOM OR AT LEAST A STATEMENT IN OUR
25 STRATEGIC VISION THAT WE HAVE THE SUFFICIENT

BETH C. DRAIN, CA CSR NO. 7152

1 FLEXIBILITY TO RESPOND IN CALIFORNIA TO WHAT MAY
2 HAPPEN NATIONALLY. BECAUSE AS PAT CORRECTLY POINTED
3 OUT, THE CHANGES THAT ARE BEING PROPOSED AT NIH ARE
4 GOING TO HAVE A MAJOR, MAJOR DETRIMENTAL IMPACT ON
5 ALL MEDICAL RESEARCH AS WE KNOW IT. AND OUR PLAN AS
6 YOU PRESENTED MAY BE DEFUNCT. AND WE MAY HAVE TO
7 START FROM SCRATCH AGAIN, CREATING OUR OWN MODELS
8 TO FILL THAT VACUUM. IT MAY NOT HAPPEN. THIS IS
9 ALL FUTURISTIC, BUT THE PLANS ON THE TABLE ARE
10 PRETTY SCARY. AND I WOULD ASK US AT LEAST TO HAVE
11 SOME FLEXIBILITY IN OUR LANGUAGE THAT WE DO HAVE THE
12 ABILITY TO PIVOT IF, IN FACT, OUR SOCIETY IN
13 CALIFORNIA DEMANDS IT OF US BECAUSE WE'LL BE THE
14 ONLY ONES HERE TO CARRY THAT BURDEN.

15 PAT, I'D REALLY LIKE TO HEAR YOU EXTEND
16 YOUR THOUGHTS WHICH YOU PRESENTED OR AT LEAST RAISED
17 A COUPLE OF WEEKS AGO.

18 DR. LEVITT: I DON'T KNOW WHAT TO ADD TO
19 THAT EXCEPT THAT IT'S GOOD THAT YOU REMEMBER WHAT I
20 SAID TWO WEEKS AGO BECAUSE I CAN'T.

21 I MEAN WE HAVE SEVERAL CHALLENGES. ONE IS
22 HAVING RAPID FLEXIBILITY FOR AN ORGANIZATION LIKE
23 THIS IS ALWAYS A CHALLENGE, BUT IT HAS TO BE DONE
24 WITHIN A CONSTRAINED FRAMEWORK, RIGHT, OR THE CHARGE
25 THROUGH THE PROPOSITION IS TO FOCUS ON SPECIFIC

BETH C. DRAIN, CA CSR NO. 7152

1 KINDS OF BIOMEDICAL RESEARCH. AND SO I THINK WE CAN
2 ADAPT TO WHATEVER DISASTERS ARE COMING OUR WAY IF
3 THAT IS PASSED.

4 I'VE HEARD FROM OUR, AND I'M SURE YOU HAVE
5 AS WELL, ALL OF US WHO HAVE RELATIONS WITH OUR
6 LEGISLATIVE FOLKS THAT THEY BELIEVE IT'S A
7 NONSTARTER BASED ON THE CURRENT CENSUS OF CONGRESS.
8 BUT THERE ARE THINGS IN THERE THAT ARE SUBTLY
9 MENTIONED, A FEW SENTENCES HERE OR THERE THAT ARE
10 REALLY DRACONIAN, A MAJOR PROBLEM. SO I WOULD SAY
11 WE CAN -- WE CAN HAVE LANGUAGE THAT WOULD ALLOW US
12 TO PIVOT RELATIVELY RAPIDLY AS AN ORGANIZATION,
13 KEEPING IN MIND THAT WE ARE ONLY GOING TO BE ABLE TO
14 DO THAT IN THE CONTEXT OF STEM CELL REGENERATIVE
15 MEDICINE AND GENETIC AND GENE THERAPIES.

16 BUT THE POINT YOU MADE, SHLOMO, IS REALLY
17 IMPORTANT BECAUSE PART OF WHAT WE SHOULD BE
18 PROPOSING IS MOST ALL OF OUR GRANTEES ARE ACADEMIC.
19 RIGHT. AND SO HOW ARE WE GOING TO FILL WHATEVER
20 GAPS MAY OCCUR? THAT'S PROBABLY FOR ANOTHER
21 CONVERSATION IF IT HAPPENS. BUT FLEXIBLE LANGUAGE
22 WOULD BE GOOD, AND I THINK THAT COULD BE DONE EVEN
23 WITHIN THE CONTEXT OF THE VERY SPECIFIC MODELS THAT
24 THE TEAM HAS PUT FORTH.

25 I DON'T THINK ANY OF US HAVE A CRYSTAL

BETH C. DRAIN, CA CSR NO. 7152

1 BALL OF WHAT'S GOING TO HAPPEN. AND WE'LL KNOW
2 AFTER NOVEMBER 4. THAT'S FOR SURE. THAT'S ALL I
3 HAVE. I DON'T KNOW WHAT ELSE TO SAY EXCEPT THAT
4 IT'S NOT A HAPPY TIME IN ACADEMIA AFTER YOU READ
5 THAT.

6 DR. CANET-AVILES: YEAH. AND I THINK WE
7 WOULD, AS ALWAYS, BE VERY FLEXIBLE WITH THE LANGUAGE
8 THAT WE HAVE. RIGHT NOW WE ARE PROPOSING AN
9 APPROACH, AND THEN WE CAN PUT THE SPECIFICS WHEN WE
10 RELEASE THE DIFFERENT PROGRAM ANNOUNCEMENTS, AND
11 THERE WILL BE ENOUGH FLEXIBILITY. BUT THAT'S A
12 GREAT POINT. THANK YOU BOTH.

13 I THINK IT'S -- I'M NOT THE CHAIR OF
14 THE -- I'M SORRY, MARK. I FORGOT. AFTER I PRESENT,
15 I GET ALL RILED UP ON A ROLL. AND IT'S YOUR
16 MEETING.

17 CHAIRMAN FISCHER-COLBRIE: NO, NO. ROSA,
18 I THINK YOU'RE DOING A GREAT JOB ON THE
19 FACILITATION. SO LET'S CONTINUE WITH YOU FIELDING
20 THE QUESTIONS BECAUSE I THINK THAT'S MOST
21 APPROPRIATE. AND I'M NOT SURE WHO WAS NEXT UP.
22 MAYBE FRED OR KEITH. I'M NOT SURE.

23 DR. FISHER: I'M HAPPY TO DEFER TO KEITH.

24 DR. YAMAMOTO: NO. FRED, YOU'RE UP. GO
25 AHEAD.

BETH C. DRAIN, CA CSR NO. 7152

1 CHAIRMAN FISCHER-COLBRIE: GO AHEAD. AND,
2 ROSA, YOU GO AHEAD AND FLAG WHO'S UP NEXT AND WHO TO
3 CALL ON BECAUSE YOU'RE DOING A GREAT JOB. SO THANK
4 YOU.

5 DR. FISHER: SO APOLOGIES FOR MY CAMERA.
6 MY COMPUTER HAS DECIDED TO TELL ME TO LOOK FOR
7 CAMERA THAT DOESN'T EXIST.

8 IT'S BEEN A QUESTION REALLY JUST TRYING TO
9 UNDERSTAND WHEN WE TALK ABOUT INDUSTRY PARTNERSHIPS,
10 WHAT EXACTLY DO WE MEAN BY INDUSTRY?
11 NOTWITHSTANDING PAT'S RECENT COMMENT, IT OCCURS TO
12 ME THAT A SIGNIFICANT PORTION OF THE GRANTS THAT WE
13 FUND ARE WITH SMALL BIOTECHS. WHEN I THINK OF
14 INDUSTRY, I THINK ABOUT MID TO LARGE CAP BIOTECHS
15 AND PHARMA. SO WHEN WE TALK ABOUT INDUSTRY, ARE WE
16 INCLUDING THE ONE- OR TWO-PERSON SHOPS IN A
17 FOR-PROFIT START-UP AS INDUSTRY? WHERE IS THE
18 CUTOFF, IF ANY, IN TERMS OF HOW YOU DEFINE INDUSTRY?

19 DR. CANET-AVILES: THAT'S A VERY GOOD,
20 APPROPRIATE QUESTION BECAUSE LIKE IF WE THINK
21 ABOUT -- SO TWO THINGS. ONE IS THE INDUSTRY FOR
22 GOAL 1 AND THE OTHER IS THE INDUSTRY FOR GOAL 2. I
23 THINK THAT THOSE COULD BE TWO DIFFERENT.

24 SO WHEN WE TALK ABOUT GOAL 2, THE ROLE OF
25 THE INDUSTRY PARTICIPATION COULD BE MORE ON

1 REFINING, SCALING, AND COMMERCIALIZING THOSE
2 TECHNOLOGIES, DEVELOPING THE ACADEMIC SETTINGS. AND
3 THEY COULD PROVIDE EXPERTISE IN CLINICAL
4 APPLICATION, REGULATORY COMPLIANCE, MARKET
5 READINESS. SO FOR THAT IT'S NOT A SMALL SHOP. THAT
6 WOULD BE MY -- AND AS I SAID, WE'RE STILL DEFINING
7 ALL OF THIS. OH, SHYAM, DO YOU WANT TO ANSWER THE
8 QUESTION? HE HAS THE HAND. GO AHEAD.

9 DR. PATEL: THANK YOU, ROSA. THAT IS A
10 VERY GOOD QUESTION, AND I THINK WE TEND TO LUMP A
11 LOT OF DIFFERENT TYPES OF INDUSTRY PLAYERS INTO THIS
12 CONVERSATION. SO I THINK, AS ROSA HAS APPROPRIATELY
13 INDICATED, IT WOULD BE DIFFERENT DEPENDING ON THE
14 TWO GOALS THAT ARE ESTABLISHED HERE.

15 SO IF YOU DON'T MIND, I'LL JUST WALK
16 THROUGH A COUPLE OF QUICK EXAMPLES. SO FOR GOAL 1,
17 WHEN WE'RE TALKING ABOUT BIOMARKERS AND TARGETS, THE
18 KEY THING IS GOING TO BE TO ENGAGE THE BIGGER
19 BIOTECH AND PHARMA COMPANIES THAT YOU'RE MENTIONING,
20 THE MID CAPS AND THE LARGE CAPS. AND AS ROSA
21 APPROPRIATELY POINTED OUT, IT'S GOING TO BE GETTING
22 AWAY FROM A CAPITAL COMMITMENT TO BEING MORE OF A
23 RESOURCE AS WELL AS ADVISORY COMMITMENT TO GET TO
24 THE AREAS THAT PAT AND OTHERS HAVE POINTED OUT WITH
25 RESPECT TO REPRODUCIBILITY, STUDY DESIGN,

BETH C. DRAIN, CA CSR NO. 7152

1 SCALABILITY, EVEN HAVING ACCESS TO HIGH THROUGHPUT
2 SCREENING MECHANISMS MIGHT BE USEFUL THAT SOME OF
3 THE PLAYERS CAN PROVIDE. SO IT'S TO THAT LEVEL.

4 AND ROSA OBVIOUSLY HAS A LOT OF EXPERIENCE
5 IN THAT FROM NIH AMP -- FNIH AMP ACTIVITIES. AND AS
6 YOU ALL KNOW, THERE ARE A LOT OF PARTNERSHIPS THAT
7 PHARMA WILL ENGAGE WITH ON AN INDIVIDUAL LEVEL WITH
8 EARTH PI'S DIRECTLY OR WITH ACADEMIC INSTITUTIONS TO
9 A BROADER LEVEL WHERE THEY GET A VIEW INTO DATA OR
10 IP AND SO ON. SO FOR US IT'S GOING TO BE
11 INCENTIVIZING THOSE LARGER COMPANIES TO ENGAGE WITH
12 US ON THESE AREAS WHERE THERE MAY BE A WIN-WIN FOR
13 ALL SIDES.

14 FOR THE TECHNOLOGY PLATFORM SIDE, THERE
15 ARE DIFFERENT TYPES OF PLAYERS THAT COULD BE
16 RELEVANT HERE. YOU COULD HAVE A SMALL TECHNOLOGY
17 INNOVATOR THAT IS DEVELOPING A NEW TECHNOLOGY, BUT
18 WOULD REALLY BENEFIT FROM ACADEMIC COLLABORATIONS TO
19 HELP REFINE THAT TECHNOLOGY AND MAKE IT MORE
20 APPLICABLE FOR THEIR DEVELOPMENT. A LOT OF THE
21 TIMES THESE TYPES OF COMPANIES FOCUS ON SHARING WITH
22 LARGER BIOPHARMA PARTNERS. AND THEY DON'T RECOGNIZE
23 THAT A LOT OF ACADEMIC INVESTIGATORS ARE ACTUALLY
24 DRIVING QUITE A FEW THERAPIES IN THE CELL AND GENE
25 THERAPY SPACE IN THE CLINIC. THAT'S A CONSISTENT

BETH C. DRAIN, CA CSR NO. 7152

1 THEME THAT I AND MY TEAM AS WELL AS CIRM AS A WHOLE
2 HAS BEEN PROPAGATING ACROSS ALL OF OUR INDUSTRY
3 OUTREACHES, THAT THERE'S A LOT OF CLINICAL
4 DEVELOPMENT HAPPENING IN THE ACADEMIC SPACE. AND
5 THAT'S WHERE YOU CAN PARTNER WITH THEM.

6 AS ROSA APPROPRIATELY MENTIONED, FOR THE
7 LARGER, THE MID CAP AND LARGE CAP BIOPHARMA
8 COMPANIES, ON THE TECHNOLOGY DEVELOPMENT, IT'S
9 REALLY IDENTIFYING THE NEEDS. WHAT ARE THE NEEDS?
10 WHAT RESOURCES CAN THEY SHARE? AND THEN HOW CAN
11 THEY TAKE WHAT IS BEING DEVELOPED AND UTILIZE IT FOR
12 THERAPEUTIC DEVELOPMENT? SO I THINK IT DEPENDS, BUT
13 WE DO NEED TO HAVE FLEXIBILITY AND COMPARTMENTALIZED
14 DIFFERENT TYPES OF INDUSTRY PLAYERS AND BRING THEM
15 TO THE FOLD IF WE'RE GOING TO DO THIS APPROPRIATELY
16 AND EFFECTIVELY GOING FORWARD.

17 DR. FISHER: THANK YOU FOR THAT. MY
18 HOPE -- I THINK A LOT OF INNOVATION COMES FROM SMALL
19 BIOTECHS THAT HAVE A THERAPEUTIC IDEA THAT NEEDS TO
20 BE PURSUED. AND I HOPE WE FIND A WAY TO CONTINUE TO
21 INCLUDE THOSE FOLKS IN OUR DISCOVERY AND POTENTIALLY
22 CLIN PROJECTS.

23 DR. CANET-AVILES: ABSOLUTELY. YEAH.
24 THANK YOU, SHYAM. THAT WAS EXCELLENT. APPRECIATE
25 IT.

BETH C. DRAIN, CA CSR NO. 7152

1 YES. THE ANSWER TO YOU, FRED, IS YES.
2 AND WE STILL HAVE NOT GONE THROUGH GOALS 3 AND 4.
3 AND I THINK GOAL 4 WILL BE TALKING MORE ABOUT WHAT
4 YOU ARE REFERRING. SO WE WILL DISCUSS IT IN AUGUST,
5 BUT THANK YOU.

6 KEITH.

7 DR. YAMAMOTO: TERRIFIC. ROSA, I'LL JUST
8 UNDERScore WHAT OTHERS HAVE SAID. AND THANK YOU FOR
9 A FANTASTIC PRESENTATION BACKED BY AN ENORMOUS AND
10 COMPREHENSIVE BODY OF WORK BY YOUR TEAM. SO
11 CONGRATULATIONS TO YOU ALL AND THANKS TO YOU ALL.
12 FANTASTIC.

13 THREE QUICK POINTS OR QUESTIONS, I GUESS.
14 YOU PRESENTED A VERY COMPREHENSIVE SUMMARY OF
15 CRITERIA TO EXAMINE IN EVENTUALLY MAKING A CHOICE OF
16 WHERE TO PUT FOCUS, WHETHER THERE ARE GOOD EXISTING
17 STEM CELL MODELS, WHETHER THERE'S A HIGH NEED FOR
18 BIOMARKERS, THE NIH SPEND, THE ECONOMIC BARRIER IN
19 CALIFORNIA -- ECONOMIC BURDEN IN CALIFORNIA, AND SO
20 FORTH. A LOT OF CRITERIA.

21 I THINK I KNOW THE ANSWER TO THIS, BUT
22 I'LL JUST ASK IT SO YOU CAN COMMENT. AND THAT IS
23 HOW ARE YOU GOING TO MAKE A DECISION FOR ANY GIVEN
24 DISEASE? THE OVERLAP OF CRITERIA ARE NOT SIMPLE,
25 AND DOESN'T SIMPLY LINE UP THAT ONE DISEASE JUMPS

BETH C. DRAIN, CA CSR NO. 7152

1 OUT BECAUSE THERE'S A GREAT NEED IN EACH OF THE
2 CRITERIA AREAS. AND SO YOU ARE GOING TO HAVE HARD
3 DECISIONS TO MAKE.

4 HAVE YOU THOUGHT ABOUT HOW THAT WILL BE
5 DONE, CERTAINLY NOT FORMULAICALLY, BUT HAVE YOU
6 THOUGHT ABOUT HOW YOU WILL BE MAKING THOSE CHOICES?
7 SO THAT'S THE FIRST QUESTION.

8 AND THEN A COMMENT THAT EXPANDS ON OR
9 MAYBE UNDERSCORES WHAT PAT HAS BEEN TALKING ABOUT.
10 YOU REALLY MADE THE POINT STRONGLY AT THE BEGINNING
11 THAT IT WOULD BE USEFUL TO THINK ABOUT ESTABLISHMENT
12 OF A DATA COORDINATING AND MANAGEMENT CENTER AS A
13 COMPUTATIONAL PROBLEM AND A DATA SCIENCE PROBLEM.
14 IT'S A SUBSTANTIAL ONE BECAUSE, IN FACT, YOU'RE NOT
15 JUST TRYING TO PUT EVERYTHING ONTO -- ALL THIS
16 INFORMATION ONTO A GRID. YOU'RE TRYING TO
17 UNDERSTAND THE INTERACTIONS AND RELATIONSHIPS
18 BETWEEN DIFFERENT DATA TYPES. AND THAT IS A
19 SUBSTANTIAL CHALLENGE. AND I THINK PAT'S IDEA THAT
20 HE VOICED OF REALLY HAVING A SECTOR OF OFFERINGS FOR
21 FUNDING FOR REALLY DATA SCIENCE VERY MUCH IN THE
22 CONTEXT OF BUILDING A KNOWLEDGE NETWORK, SOMETHING
23 THAT WE'VE TALKED ABOUT IN THIS ORGANIZATION BEFORE
24 WHEN WE INTERACTED WITH THE PRECISION MEDICINE
25 INITIATIVE WHICH HAD THIS NOTION OF BUILDING A

BETH C. DRAIN, CA CSR NO. 7152

1 KNOWLEDGE NETWORK AT ITS CORE.

2 SO INTERACTING WITH GROUPS THAT ARE ALONG
3 THE WAY ON THAT. UCSF HAS MADE A LOT OF PROGRESS IN
4 THIS REALM, BUT WE'RE CERTAINLY NOT THE ONLY ONES,
5 COULD BE USEFUL. AND THEN MORE BROADLY, OPENING UP
6 THE POTENTIAL FOR FUNDING FOR DATA SCIENTISTS WHO
7 ARE ALREADY THINKING ABOUT THESE CHALLENGES, I
8 THINK, COULD BE VERY PRODUCTIVE. AND I THINK THAT
9 THAT'S PERFECT.

10 AND THEN FINALLY, JUST TO COMMENT ON WHERE
11 WE ARE AT THE NATIONAL LEVEL, I'M ACTUALLY REACHING
12 YOU ALL FROM DC. I'M IN TOWN TO -- IN FACT, I JUST
13 HAD A LONG MEETING WITH BILL CASSIDY, WHO YOU KNOW
14 IS THE RANKING MEMBER ON THE SENATE HEALTH COMMITTEE
15 WHICH OVERSEES THE SENATE SIDE, AUTHORIZATION
16 COMMITTEE FOR THE NIH. AND BILL HAS BEEN THINKING
17 FOR A YEAR AND A HALF. I'VE BEEN TALKING A LOT WITH
18 HIM ABOUT A COMPREHENSIVE REAUTHORIZATION OF THE NIH
19 THAT HASN'T BEEN DONE SINCE 2006 WHEN JOE BARTON ON
20 THE HOUSE SIDE LAUNCHED A VERY EFFECTIVE AND HELPFUL
21 REAUTHORIZATION. I THINK THAT CASSIDY IS THINKING
22 SIMILARLY IN THIS WAY.

23 HE HAS RELEASED A DOCUMENT ACTUALLY BEFORE
24 THE CATHY MCMORRIS-RODGERS DOCUMENT SUMMARIZING THE
25 INPUTS THAT HE RECEIVED FROM HIS RPE ON NIH REFORM.

BETH C. DRAIN, CA CSR NO. 7152

1 AND I THINK THAT'S MOVING FORWARD WELL. SO I'M
2 HOPING THAT THE KIND OF STRATEGIES AND THOUGHTS THAT
3 HE HAS HAD IN LOOKING AT NIH REFORM CAN CARRY THE
4 DAY AT THE END OF THE DAY.

5 THE MCMORRIS-RODGERS DOCUMENT IS
6 INCREDIBLY DESTRUCTIVE. IT IS REALLY WRITTEN FROM
7 THE STANDPOINT THAT REFLECTS MCMORRIS-RODGERS' ANGER
8 AT NIH EVER SINCE WUHAN. HER VIEW IS THAT THE SARS
9 COV2 VIRUS WAS A LAB ESCAPEE FROM WUHAN. AND THEN
10 MOST DAMAGINGLY WAS PARTIALLY FUNDED BY THE
11 ECO-HEALTH ALLIANCE SUBCONTRACT THAT WAS LET TO
12 WUHAN VIROLOGY.

13 I THINK THERE'S ESSENTIALLY NO DATA THAT
14 ARE CONSISTENT WITH THOSE NOTIONS, BUT IT'S A
15 PREVALENT VIEW ON THE HOUSE ENERGY AND COMMERCE
16 COMMITTEE LEADERSHIP. AND IT LED TO THE REALLY
17 DESTRUCTIVE DOCUMENT THAT SHE'S PUT FORTH. I DON'T
18 THINK THAT WILL GO FORWARD. I AGREE WITH PAT. THE
19 WORD ON THE STREET IS THAT IT WON'T, AND I'M HOPING
20 THAT IT WILL BE MODERATED, TO PUT IT MILDLY, BY THE
21 KINDS OF THINGS THAT CASSIDY IS THINKING ABOUT.

22 SO I THINK WE NEED TO JUST STAY ALERT.
23 BUT THE BOTTOM LINE IS THAT -- THE BOTTOM LINE FOR
24 CIRM IS THAT WE NEED TO BE ALWAYS THINKING ABOUT
25 WHERE CIRM CAN MAKE UNIQUE ATTRIBUTIONS IN THE AREA

BETH C. DRAIN, CA CSR NO. 7152

1 OF RESEARCH OF ITS CHOOSING. AND A PART OF THAT
2 DECISION HAS GOT TO LOOK AT WHAT THE POSSIBLE
3 OVERLAPS ARE FOR THE NIH. IF THAT IS SOMETHING
4 THAT'S GOING TO BE CHANGING SOON, WELL, WE'LL JUST
5 HAVE TO BE ALERT TO IT. I ACTUALLY DON'T THINK THAT
6 WE'LL KNOW THE ANSWER TO THAT ON NOVEMBER 4TH. I
7 DON'T THINK THE MCMORRIS-RODGERS STRATEGY IS
8 ACTUALLY GOING TO MOVE FORWARD IN THIS CONGRESS.
9 AND SO IT'S GOING TO BE AFTER THAT THAT THE TWO
10 HOUSES OF CONGRESS GET DOWN TO BUSINESS OF WRITING
11 OF AUTHORIZING LANGUAGE FOR THE NIH THAT WE'LL BEGIN
12 TO SEE HOW THIS IS ALL GOING TO BREAK OUT.

13 SO SIMPLY STAYING ALERT AND ON TOP OF THE
14 SITUATION. AND I AND OTHERS ARE IN TOWN WORKING ON
15 THIS VERY PROBLEM. SO I'LL CERTAINLY KEEP ALL OF
16 YOU ADVISED AS EXPEDITIOUSLY AS POSSIBLE.

17 DR. LEVITT: IF I CAN FOLLOW UP BRIEFLY,
18 TAKE THE CO-CHAIR PREROGATIVE. AS KEITH WAS TALKING
19 ABOUT THE DATA SCIENCE THING, I WAS THINKING WE HAVE
20 IN THE REMIND PROGRAMS, WE HAVE A REQUIREMENT
21 FOR -- I THINK WE HAVE A REQUIREMENT FOR DATA
22 SCIENCE OR INFORMATICS AS PART OF THE BIOMEDICAL
23 RESEARCH TEAM. IF YOU JUST FLIP THAT -- YOU HAVE A
24 TEAM OF DATA SCIENTISTS AND THEN YOU HAVE A
25 REQUIREMENT FOR A BIOMEDICAL RESEARCHER TO BE PART

BETH C. DRAIN, CA CSR NO. 7152

1 OF THAT TEAM THAT BASICALLY DOES THE SAME THING,
2 MEANING THAT THERE WILL BE INPUT FROM THE VERY
3 BEGINNING FROM SOMEBODY WHO UNDERSTANDS THE
4 BIOLOGICAL CONSTRUCT, THE DISEASE CONSTRUCTS, WHICH
5 CAN REALLY BE IMPORTANT SO THEY'RE NOT OUT THERE ON
6 THEIR OWN AS DATA SCIENTISTS. SOME ARE GREAT AND
7 UNDERSTAND IT; OTHERS REALLY HAVE CHALLENGES. SO
8 THEY DO A TON OF ANALYSES, AND THEN YOU END UP
9 SCRATCHING YOUR HEAD BECAUSE THEY KIND OF MISSED THE
10 BOAT ABOUT THE --

11 DR. YAMAMOTO: THAT'S RIGHT.

12 DR. LEVITT: -- ABOUT THE BIOLOGY. SO IF
13 YOU HAVE THAT JUST REVERSE REQUIREMENT, IT WOULD
14 REALLY -- IT WOULD BE VERY EXCITING BECAUSE I DON'T
15 KNOW OF OTHER INITIATIVES THAT DO THAT. IT WOULD BE
16 VERY, VERY COOL.

17 AND THE OTHER THING I JUST WANTED TO
18 MENTION IS I KNOW YOU'RE GOING TO DO THIS WITH THE
19 RFA'S, BUT DEFINING WHAT WE MEAN BY, LIKE, THE
20 ACTUAL -- LIKE THERE'S SO MANY WAYS TO DEFINE
21 PARTNERSHIPS AND RELATIONSHIPS. DEFINING THOSE
22 REALLY SPECIFICALLY, AS SHYAM WAS SPEAKING, IT JUST
23 SORT OF TRIGGERED IN MY BRAIN, OKAY, SO WHAT ARE
24 GOING TO BE -- IN TALKING TO THE POTENTIAL INDUSTRY
25 PARTNERS, WHAT DO THEY FEEL IS GOING TO BE THE MOST

BETH C. DRAIN, CA CSR NO. 7152

1 PRODUCTIVE WAY OF DEFINING A PARTNERSHIP, NOT JUST
2 US DEFINING IT AND TELLING THEM HERE'S THE WAY YOU
3 HAVE TO PARTNER WITH THE ACADEMICS, BUT GETTING
4 INPUT FROM THEM EARLY ON BEFORE THE RFA EVEN COMES
5 OUT AND SAYING HERE'S THE DEFINITION OF WHAT WE MEAN
6 BY A PARTNERSHIP. AND I THINK THAT WOULD REALLY
7 ALSO SAVE A LOT OF TIME. ANYWAY, YEAH.

8 DR. CANET-AVILES: WE WILL DEFINITELY TAKE
9 THOSE -- THAT INPUT, THAT FEEDBACK, WHICH IS
10 EXCELLENT, INTO ACCOUNT AS WE DEVELOP THE CONCEPT,
11 IF APPROVED. RIGHT? BECAUSE FROM EXPERIENCE AT THE
12 FNIH LEVEL, YOU GET WHAT THE INDUSTRY PARTNERS
13 WANTED WAS THE DEPTH OF THE DATA WAS THAT GENERATED
14 THE FUNDING FROM THE NIH, RIGHT, AND THE ACCESS TO
15 ALL THESE MULTIPLE ACADEMIC AND VALIDATION, THE
16 REPRODUCIBILITY, AND THEY BRINGING EXPERIENCE IN
17 TARGET VALIDATION, RIGHT, AT THE PRECOMPETITIVE
18 LEVEL. THIS COULD MESH WITH THE COMPETITIVENESS,
19 RIGHT. SO WE NEED TO TALK TO THEM AND FIGURE OUT
20 WHAT IS IT THAT COULD MAKE THE DEAL FOR THEM, AND
21 THAT COULD ALSO PLEASE ACADEMICS BECAUSE EVERYBODY
22 NEEDS TO AT THE END OF THE DAY BE HAPPY.

23 NOW, WE DO HAVE THE FUNDING WHICH IS WHAT
24 WE ARE OFFERING AND THE PATIENTS RIGHT THERE WAITING
25 FOR SOLUTIONS.

BETH C. DRAIN, CA CSR NO. 7152

1 THERE WAS A QUESTION THAT -- SO THANK YOU,
2 KEITH, FOR BEING IN DC FOR ALL OF US ON BEHALF OF
3 ALL OF US. YOU ASKED ABOUT THE CRITERIA TO EXAMINE
4 WHERE TO PUT THE FOCUS. HOW WOULD WE MAKE A
5 DECISION? AND THERE ARE DIFFERENT WAYS TO MAKE A
6 DECISION. WE COULD THEN SELECT DISEASES. WHAT WE
7 WOULD ASK IS, THROUGH THE ELIGIBILITY CRITERIA, WE
8 COULD BE ASKING THAT IF YOU ARE COMING TO -- IF
9 THERE IS AN APPLICATION, THE DISEASE HAS TO HAVE A
10 VALIDATED CELL MODEL. THE NEED FOR BIOMARKERS NEEDS
11 TO BE X. RIGHT. IT HAS TO BE. AND YOU NEED TO
12 HAVE A PARTNERSHIP, ONCE WE DEFINE THE TERMS OF THE
13 PARTNERSHIP, WITH INDUSTRY COMPONENTS. THAT
14 PARTNERSHIP NEEDS TO BE WITH SOMEBODY WHO HAS A
15 FOCUS IN THAT DISEASE, FOR EXAMPLE, OR THAT THE
16 NEEDS OF THE PROJECT ARE BEING CORROBORATED BY THE
17 INDUSTRY PART.

18 SO WE WOULD HAVE TO FIGURE OUT THOSE
19 DIFFERENT ASPECTS.

20 I HAVE ONE COMMENT ON THE DATA SCIENCE
21 PROBLEM. I SEE -- I THINK HAVING A DATA
22 COORDINATING MANAGEMENT CENTER, HAVING A KNOWLEDGE
23 PLATFORM WILL LEAD TO THAT PHASE OF HAVING THE DATA
24 SCIENCE AND HAVING POTENTIALLY A GROUP OF DATA
25 SCIENTISTS.

BETH C. DRAIN, CA CSR NO. 7152

1 ANOTHER WAY TO APPROACH THIS IS HOW THE
2 NIH HAS DONE IT FOR SOME AMPS IS TO HAVE A
3 HACKATHON. TO HAVE LIKE A BUNCH OF DATA, SAY WE
4 GENERATED DATA THROUGH THIS SPECIFIC DISEASE, AND
5 THEN YOU HAVE ALL THESE DATA SCIENTISTS WITH SOME
6 BIOLOGISTS OR CLINICIANS THAT ARE COLLABORATING
7 TOGETHER TO SOLVE A SPECIFIC ISSUE. THAT'S ANOTHER
8 WAY TO APPROACH IT.

9 BUT THOSE ARE VERY GOOD IDEAS, AND WE WILL
10 TAKE THEM INTO ACCOUNT IF WE DEVELOP THE CONCEPT.
11 THANK YOU. ANYTHING ELSE? ANYBODY ELSE? I THINK
12 THE ROOM HAS -- J.T.

13 DR. THOMAS: YES. THIS IS IN RESPONSE TO
14 KEITH'S COMMENTS WHICH STARTED SORT OF WITH SHLOMO
15 AND PAT. I AGREE, KEITH. IT'S WONDERFUL YOU'RE
16 BACK THERE AND GIVING DIRECT INPUT HERE. THIS IS A
17 PRECARIOUS TIME. IT REQUIRES GREAT INSIGHT. AND
18 WE'RE ALL THE BETTER OFF FOR YOU BEING THERE TO HAVE
19 THESE DIRECT CONVERSATIONS.

20 WITH RESPECT TO CIRM BEING NIMBLE. AND
21 PERHAPS HAVING TO ADAPT WHETHER OR NOT THIS
22 PARTICULAR LEGISLATION GOES FORWARD, OF COURSE, CIRM
23 IS, AS IT WAS FORMED ORIGINALLY, WAS MEANT TO BE
24 SOMETHING THAT SUPPLEMENTED WHAT NIH WASN'T DOING.
25 AND THROUGHOUT OUR LIFE SPAN, WE HAVE EVALUATED SORT

BETH C. DRAIN, CA CSR NO. 7152

1 OF WHERE WE ARE WITH RESPECT TO NIH FUNDING, HOW
2 BEST TO FILL GAPS WHERE FUNDING ISN'T GETTING DONE,
3 ET CETERA. SO WE HAVE A LONG-STANDING TRADITION AND
4 CULTURE OF ADAPTING TO THE NATIONAL FUNDING
5 FRAMEWORK.

6 SO TO THE EXTENT THAT ANYTHING DOES ARISE
7 OUT OF DRACONIAN LEGISLATION EITHER THROUGH CONGRESS
8 OR DEPENDING ON HOW THE ELECTION GOES, THE DIRECTION
9 IF THERE IS A NEW ADMINISTRATION, ET CETERA, THAT
10 DRUMS UP SUPPORT FOR REDUCING NIH FUNDING FOR
11 WHATEVER, WE ARE VERY -- OUR ANTENNA ARE ALWAYS UP.
12 AND WE WILL ADAPT ACCORDINGLY TO ANY SUCH ADVERSE
13 DEVELOPMENTS. SO THANK YOU ALL FOR THOSE COMMENTS.
14 THOSE ARE VERY IMPORTANT.

15 CHAIRMAN FISCHER-COLBRIE: ARE THERE OTHER
16 COMMENTS BEFORE WE OPEN FOR ANY POTENTIAL PUBLIC
17 COMMENTS THAT MIGHT BE THERE? ANY OTHER DISCUSSION
18 POINT PEOPLE WOULD LIKE TO BRING UP?

19 ROSA, I JUST WANT TO AMPLIFY AND ECHO THE
20 COMMENTS ABOUT THE DEPTH OF THE WORK AND WHAT'S BEEN
21 COMPLETED SO FAR. EXCITED ABOUT CONTINUING THE
22 ONGOING PROCESS LEADING TO THE GOALS AND ACKNOWLEDGE
23 THAT WE HAVE STILL SIGNIFICANT WORK TO MOVE FORWARD
24 ON. BUT YOU HAVE COLLECTIVELY PROVIDED VERY
25 THOUGHTFUL DATA, INFORMATION, AND CONSIDERATION

BETH C. DRAIN, CA CSR NO. 7152

1 AROUND FACTORS FOR US TO REVIEW AND COMMENT ON AS WE
2 GO FORWARD. AGAIN, JUST AMPLIFYING THE KUDOS TO THE
3 GROUP FOR THE DEPTH AND THE CONSIDERATION HERE.

4 IT'S EXTREMELY REMARKABLE. SO THANK YOU.

5 WITH THAT, ANY PUBLIC COMMENTS?

6 MR. TOCHER: WE'RE SURVEYING THE ROOM AND
7 ONLINE, AND IT DOES NOT APPEAR THAT WE HAVE ANY
8 PUBLIC COMMENT AT THIS TIME, MARK.

9 CHAIRMAN FISCHER-COLBRIE: OKAY. UNLESS
10 THERE'S ANY OTHER COMMENTS, OR J.T., IF THERE ARE
11 ANY OTHER ADDITIONAL COMMENTS YOU WOULD LIKE TO MAKE
12 BEFORE WE CONCLUDE THE MEETING.

13 DR. THOMAS: NO. OTHER THAN TO RESTATE
14 WHAT EVERYBODY HAS SAID, WHICH IS THANK ROSA AND HER
15 TEAM WRIT LARGE. THERE ARE MANY, MANY PEOPLE HERE
16 WORKING ON THIS AND GIVING INPUT. AND I THINK THIS
17 IS ALL PART OF THE EFFORT THAT'S GOING TO BE DRIVING
18 TOWARDS A CRESCENDO IN SEPTEMBER WHERE THE BOARD
19 WILL BE WELL POSITIONED HAVING BEEN ABLE TO HEAR A
20 LOT OF THINGS ALONG THE WAY TO MAKE A STRATEGIC
21 DECISION ON THE DIRECTION THAT'S GOING TO GUIDE US
22 FOR YEARS TO COME.

23 SO, ROSA AND SHYAM AND EVERYBODY, THANK
24 YOU VERY MUCH FOR YOUR OUTSTANDING WORK. AND SARA
25 AND THOMAS WHO HAVE BEEN SO INSTRUMENTAL AS WELL.

BETH C. DRAIN, CA CSR NO. 7152

1 SO THANK YOU VERY MUCH.

2 DR. CANET-AVILES: AND OUR NEW CO-CHAIRS
3 AND CHAIR, LIKE MARK AND CAROLYN AND PAT, THANK YOU.

4 CHAIRMAN FISCHER-COLBRIE: I THINK WITH
5 THAT WE CAN CONCLUDE THE MEETING. SO THANK YOU VERY
6 MUCH FOR YOUR TIME, AND I'LL LOOK FORWARD TO YOUR
7 THOUGHTFUL INPUT AS WE CONTINUE TO GO THROUGH THIS
8 PROCESS. SO THANK YOU.

9 (THE MEETING WAS THEN CONCLUDED AT 10:21 A.M.)

10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

REPORTER'S CERTIFICATE

I, BETH C. DRAIN, A CERTIFIED SHORTHAND REPORTER IN AND FOR THE STATE OF CALIFORNIA, HEREBY CERTIFY THAT THE FOREGOING TRANSCRIPT OF THE VIRTUAL PROCEEDINGS BEFORE THE SCIENCE SUBCOMMITTEE AND TASK FORCE ON NEUROSCIENCE AND MEDICINE OF THE INDEPENDENT CITIZEN'S OVERSIGHT COMMITTEE OF THE CALIFORNIA INSTITUTE FOR REGENERATIVE MEDICINE IN THE MATTER OF ITS REGULAR MEETING HELD ON JULY 11, 2024, WAS HELD AS HEREIN APPEARS AND THAT THIS IS THE ORIGINAL TRANSCRIPT THEREOF AND THAT THE STATEMENTS THAT APPEAR IN THIS TRANSCRIPT WERE REPORTED STENOGRAPHICALLY BY ME AND TRANSCRIBED BY ME. I ALSO CERTIFY THAT THIS TRANSCRIPT IS A TRUE AND ACCURATE RECORD OF THE PROCEEDING.

BETH C. DRAIN, CA CSR 7152
133 HENNA COURT
SANDPOINT, IDAHO
(208) 920-3543